

Transcript of October 18, 2000 Meeting

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00001

1
2
3
4

SACRAL NERVE STIMULATION

5

FOR THE TREATMENT OF URINARY INCONTINENCE

6
7
8

HEALTH CARE FINANCING ADMINISTRATION
Medicare Coverage Advisory Committee
Medical and Surgical Procedures Panel

10
11
12
13
14

October 18, 2000

Baltimore Convention Center
Baltimore, Maryland

15
16
17
18
19
20
21
22
23
24
25

00002

1
2
3

Panelists
Chairperson
Alan M. Garber, MD, PhD

Vice-Chairperson

4 Michael D. Maves, MD, MBA

5 Voting Members

Angus M. McBryde, MD, FACS

6 H. Logan Holtgrewe, MD, FACS

Kenneth P. Brin, MD, PhD

7 Les J. Zendle, MD

Bruce Sigsbee, MD

8

Consumer Representative

9 Phyllis E. Greenberger, MSW

10 Industry Representative

Eileen Helzner, M.D.

11

Non-Voting Guest

12 Adrian Oleck, MD

13 Director, Coverage and Analysis Group, HCFA

Sean R. Tunis, MD, MSc

14

Executive Secretary

15 Constance A. Conrad, RN

16

17

18

19

20

21

22

23

24

25

00003

1 TABLE OF CONTENTS

Page

2 Opening and Conflict of Interest Statement

Constance A. Conrad, RN 4

3 Alan Garber, MD, PhD 6

4 Presentation of Questions

Jennifer Doherty 6

5

Open Public Comments and Scheduled Commentaries

6 John Brizzolara, MD 10

Jeffrey Welgoss, MD 20

7 Roger Dmochowski, MD 32

	George Mamo, MD	40
8	Kristine Whitmore, MD	48
	Nancy Muller, MD	54
9	Janet Smith, MD	63
	Kimberly Oleson	69
10	Steven Siegel, MD	77
	J. Thomas Benson, MD	100
11	Martha Goldberg Aronson	106
12	HCFA Presentation	
	Mitchell Burken, MD	109
13		
	Blue Cross/Blue Shield Technology Center Assessment	
14	Frank V. Lefevre, MD	112
15	Open Committee Deliberation	137
16	Open Public Comments	148
17	Final Panel Recommendations	155
18	Adjournment	160

00004

1 P R O C E E D I N G S.

2 MS. CONRAD: Good morning. And welcome,
3 panel chairperson, members, guests and temporary
4 nonvoting members. I am Connie Conrad, executive
5 secretary of the Medical and Surgical Procedures
6 Panel of the Medicare Coverage Advisory Committee.

7 The panel is here today to provide advice
8 an recommendations to the Agency regarding sacral
9 nerve stimulation for the treatment of refractor
10 urinary urge incontinence and refractory frequency
11 syndrome.

12 At the conclusion of today's session,
13 panel members will be asked to vote on a series of
14 questions. The answers to those questions will
15 constitute this panel's recommendation, which will be
16 submitted to the Executive Committee. When the
17 Executive Committee ratifies the recommendation, it
18 will officially transmit that recommendation to HCFA.

19 HCFA will then develop a national coverage policy
20 within 60 days of receipt of that recommendation.

21 For the purposes of today's panel,
22 Dr. Adrian Oleck, medical director of the durable
23 medical equipment regional carrier for Region B
24 received an appointment, temporary nonvoting member
25 status. Dr. Oleck's expertise will enhance this

00005
1 panel's deliberative process.

2 In addition, we welcome Dr. Eileen
3 Helzner, industry representative to the medical
4 devices and prosthetics panel, who also received an
5 appointment to temporary nonvoting status.

6 The following announcement addresses
7 conflict of address issues associated with this
8 meeting and is made a part of the record to preclude
9 even the appearance of impropriety. To determine if
10 any conflict exists, the Agency reviewed the
11 submitted agenda and all financial interests reported
12 by panel participants. The conflict of interest
13 statute prohibits special government employees from
14 participating that could affect their or their
15 employers' financial interests.

16 Les, would you make a brief statement for
17 me please?

18 DR. ZENDLE: Yes. I wanted to let the
19 panel know that I actually just discovered last night
20 that Dr. Sharif Aboseif, who is the director of the
21 neurology program at Kaiser Permanente Los Angeles,
22 is participating in an IRB approved registry
23 sponsored by Medtronic and is currently preparing a
24 publication on the outcomes of patients who have
25 undergone sacral nerve implantation.

00006
1 I have no knowledge of the results, and I
2 and Kaiser Permanent have no financial interest in
3 the outcome of the study.

4 MS. CONRAD: Thank you, Les.

5 The Agency has determined that all members
6 and consultants may participate in the matters before
7 the panel today. With respect to all other
8 participants, we ask in the interest of fairness that
9 all persons making statements or presentations

10 disclose any current or previous financial
11 involvement with any firm whose product or services
12 they may wish to comment on. Thank you.

13 Dr. Garber.

14 DR. GARBER: Welcome, everyone. Today I
15 believe all the panel members have a copy of the
16 questions that were in your blue portfolio. We are
17 going to be looking at sacral nerve stimulation for
18 two indications, refractory urge incontinence and
19 refractory urgency frequency syndrome. I think that
20 we will just proceed to ask Jennifer Doherty to
21 present the questions.

22 MS. CONRAD: Jennifer?

23 MS. DOHERTY: Thank you and good morning,
24 panel members. In the last panel meeting, you
25 discussed pelvic floor stimulation and biofeedback.

00007

1 Today you will discuss the effectiveness of sacral
2 nerve stimulation. Following the public comment
3 period, Dr. Mitch Burken will more fully address the
4 issues that I am about to talk about right now, and
5 answer any questions that you should have.

6 As many of you know, urinary incontinence,
7 otherwise known as UI, is a major problem in the
8 United States. It affects approximately 13 million
9 adults each year, and at least half of all nursing
10 home residents. These individuals may experience a
11 loss of self esteem and depression. These types of
12 problems have an overall negative impact on quality
13 of life. Unfortunately, there is a great deal of
14 social stigma attached with incontinence, which is
15 one reason why many sufferers do not seek medical
16 attention for this problem. As a result, UI is both
17 under reported and under diagnosed.

18 There are several treatment options for
19 individuals affected by UI. Patients usually start
20 with behavioral modifications such as bladder
21 training. If that is ineffective, patients commonly
22 move to pharmacologic treatments. Other options
23 include surgical interventions, such as sacral nerve
24 stimulation, otherwise known as SNS.

25 The sacral nerves are located near the

00008

1 sacrum, which is the large bone at the bottom of the
2 spine. These nerves are important because they help
3 to control bladder contractions. The sacral nerve
4 stimulator is a pulse generator about the size of a
5 pacemaker. It is implanted in the abdominal wall. A
6 wire lead is then attached to the sacral nerves.
7 Electric impulses are sent from the generator to the
8 sacral nerves through the implanted wire. These
9 impulses cause the nerve to contract, which gives the
10 patient ability to void. Patients are given a
11 preliminary test to determine if an implantable
12 stimulator will be effective.

13 You have had the opportunity to review
14 literature on sacral nerve stimulation. HCFA
15 provided the following: Two Blue Cross/Blue Shield
16 technology assessments, one on sacral nerve
17 stimulation in urge incontinence, and the second on
18 sacral nerve stimulation and urgency frequency
19 syndrome. In addition, articles reflecting both
20 clinical and nonclinical trials were provided.

21 The panel will review the scientific
22 evidence, hear public comment and make
23 recommendations to HCFA about the effectiveness of
24 sacral nerve stimulation. More specifically, you
25 will be asked to vote on two questions.

00009

1 Question number one: Is the scientific
2 evidence adequate to draw conclusions about the
3 effectiveness of sacral nerve stimulation in the
4 Medicare population for the following two
5 indications: Refractory urinary urge incontinence,
6 and refractory urgency frequency syndrome.

7 Dr. Burken will later provide definitions
8 of refractory urge incontinence and urgency frequency
9 syndrome. In answering the question, please consider
10 the following points: The adequacy of the study
11 design; the consistency of results across studies;
12 their applicability to the Medicare population; and
13 their generalizability beyond the research setting.
14 We ask you consider the whole spectrum of information
15 presented, which includes expert testimony and public
16 comments.

17 If the evidence is adequate to draw

18 conclusions about sacral nerve stimulation and the
19 panel votes affirmatively on question one, the panel
20 will move to question two, which addresses the size
21 and direction of effectiveness. If the panel votes
22 negatively on question one, please do not proceed to
23 the second question.

24 Question two asks: If the evidence is
25 adequate to draw conclusions, what is the size, if

00010

1 any, of the overall health effect of sacral nerve
2 stimulation compared with alternative treatments for
3 refractory cases? Please note that alternatives are
4 typically other surgical options.

5 When answering the question, the panel
6 will be asked to place the size and direction of
7 effectiveness into one of the following seven
8 categories: Breakthrough technology, more effective,
9 as effective but with advantages, as effective and
10 with no advantages, less effective but with
11 advantages, less effective and with no advantages, or
12 not effective.

13 Thank you for your time this morning, and
14 we look forward to a productive meeting.

15 MS. CONRAD: Thank you, Jennifer.

16 Let's proceed with the public
17 presentations. The first speaker on the list is John
18 Brizzolara, followed by Jeffrey Welgoss.

19 DR. BRIZZOLARA: Good morning, panel
20 members. I want to thank the committee for giving me
21 the opportunity to speak with you about my experience
22 with sacral nerve stimulation. I think you may have
23 some data there, I'm going to speak to that data, and
24 my presentation at the end, I think, will answer most
25 of the four questions that we will be addressing

00011

1 today, if not directly, indirectly.

2 As I said, my name is John Brizzolara.
3 I'm a private practice urologist in Little Rock,
4 Arkansas. My practice is a general urology practice
5 with a heavy emphasis on urinary incontinence and
6 pelvic floor dysfunction or urgency frequency and
7 pelvic pain. To give you a little bit of background
8 data on the practice, the population, or the medical

9 draw area of Little Rock is approximately 550,000
10 people. I am in a 12 member urology group. We see a
11 large Medicare population; Arkansas is a large
12 Medicare state. Looking at billing records over the
13 last several years, it will range anywhere from 55 to
14 65 percent Medicare billing, so we do take care of a
15 large Medicare population.

16 I would like to address my experience with
17 sacral nerve stimulation. I began implanting in
18 March of 1999 after an excellent training course.
19 Since that time I have implanted 52 pulse generators
20 and of that 52, 19 have been in the Medicare
21 population. In order to get to the 19 permanent
22 implants, I started with 30 patients who I felt were
23 candidates for temporary test stimulation. In order
24 for a patient to qualify for the temporary test
25 stimulation, they have to have failed conservative

00012

1 management, and conservative management generally
2 encompasses pharmacologic treatment or behavioral
3 modification, or sometimes intravesical treatment.

4 I had 30 patients that fulfilled that
5 criteria. They all filled out the required voiding
6 diaries and after reviewing the diaries, these 30
7 patients then went on to temporary stimulation, or
8 test stimulation. Out of that 30, I felt that 70
9 percent, that 19 of those 30, had better than a 70
10 percent improvement in one of the treatments that we
11 were looking for. So these patients then went on to
12 permanent implantation and I will give you the data
13 on the permanent implantation of those 19, and this
14 has been over an 18-month period of time.

15 19 patients total. 11 patients or 57
16 percent had total resolution of their symptoms. 31
17 percent or six patients had better than a 50 percent
18 resolution. One patient had better than 30 percent
19 improvement, and in that one patient, that 30 percent
20 was significant; it made a large impact on their
21 quality of life. And then there was one patient that
22 for some reason did not achieve the efficacy with the
23 permanent implant that they did in the test
24 stimulation; I'm not sure why. But of those 19, most
25 of them had significantly good results.

00013

1 Prior to treatment, overall, these
2 patients were using on an average of four pads per
3 day and these weren't small pads, these were large
4 pads. So some people were using eight, some two, but
5 on average, approximately four. After treatment,
6 they decreased up to 40 percent, which was
7 significant. Urge symptoms, pelvic pain, decreased
8 80 percent overall.

9 30 percent of the patients prior to
10 treatment were undergoing some type of intravesical
11 treatment which would require the patient to come
12 into the office at least one day a week for six weeks
13 to receive an installation, and sometimes the
14 patients would do this four and five times a year,
15 which results in multiple visits to the office and
16 quite a large expense. After treatment, no patients
17 were receiving any type of intravesical treatment
18 requiring them to come to the office.

19 Prior to treatment, all patients were on
20 some type of pharmacologic treatment. That would be
21 a combination of anticholinergics, tricyclic
22 antidepressants, alpha blockers, Valium, pain
23 medication, and most of it was polypharmacy, a large
24 expense right there. After treatment, oral
25 pharmacologic agents were decreased to only 10

00014

1 percent, a significant decrease.

2 Prior to treatment, and this is very very
3 important in the Medicare population, prior to
4 treatment, only 20 percent of these patients could
5 sleep through the entire night without getting up.
6 Most these people were getting up an average of four
7 times a night. If you take the Medicare population
8 and you do not allow this population to get adequate
9 sleep and they are getting up four times a night at
10 intervals of every hour, they begin to suffer from
11 sleep deprivation, which then results in depression,
12 the immune system is not up to par, and they
13 subsequently suffer other medical problems. So this
14 impacts the Medicare population tremendously if
15 they're not sleeping well at night. After treatment,
16 greater than 40 percent of the patients slept all

17 night long and of the ones that did not sleep all
18 night long, on average they were just getting up two
19 times at night. So they are all getting at least
20 four hours of consecutive sleep, which is extremely
21 important.

22 Quality of life issues, which is probably
23 the reason that we do most of our treatment, impacts
24 this population tremendously. This is a population
25 of patients that, the majority are retired, most of

00015

1 them have the financial means to go and do what
2 they'd like to do. If you're suffering from pelvic
3 floor dysfunction and urinary incontinence, it
4 significantly impacts your ability to get out and do
5 what you want to do.

6 Prior to treatment, the majority of these
7 people could not take a 30-minute car ride. Now in
8 Little Rock, Arkansas, 30 minutes will probably get
9 you to the mall, to a church, to a relative's, to a
10 grocery store. But once you're there, that's going
11 to give you about five minutes to visit, to worship,
12 to buy your food and then you have to go find a
13 bathroom. That's a real problem.

14 After the treatment, and this is amazing,
15 after the treatment, 81 percent of these people could
16 take a one-hour car ride, most of them over that. So
17 this allowed them to get out and do what they want to
18 do. Otherwise, they're sitting at home depressed,
19 can't mingle, and it impacts them greatly.

20 In my practice, if we're treating a group
21 of patients, we will do patient satisfaction surveys.
22 And I don't know if you all have this data. But in a
23 private practice, patient satisfaction surveys are
24 very very important. And so I looked at three
25 different things, were they satisfied with the

00016

1 treatment, would they recommend it to a friend or
2 family, and would they repeat the procedure. And I
3 did a simple scale, zero to ten, zero being no, ten
4 being yes, 100 percent I would do it.

5 On whether or not they were satisfied, all
6 patients were more than 70 percent satisfied, two
7 patients were 70, seven were 80, three were 90, and

8 six were 100 percent. Whether or not they would
9 recommend it to a friend or family, all more than 90
10 percent felt they would, 16 said 100 percent they
11 would and two said 90 percent they would. Whether or
12 not they would repeat the procedure I think tells the
13 story. All of them said, probably 80 percent, yes, I
14 would repeat it; two said 80, one said 90, and 16
15 said 10.

16 So in the private practice, in a community
17 based urology practice, in which there's a large
18 Medicare population, I think and feel that sacral
19 nerve stimulation provides a very viable treatment
20 option for this refractory group of patients that we
21 really had nothing to do before. It improves their
22 quality of life, their self image, and their overall
23 well being.

24 The way I have looked at this is that
25 prior to sacral nerve stimulation, there was a

00017

1 puzzle, a jigsaw puzzle on urinary incontinence, and
2 we had most of the pieces, and there was a defect
3 right in the center for this huge group of patients
4 that had refractory urge incontinence or urgency
5 frequency. Other than disfiguring surgery, which
6 doesn't work in probably 20 percent of the people, we
7 had nothing to offer them. And thanks to the work of
8 Siegel, Schmidt, Vinson, and Hadsuna and Chancellor,
9 and the people in Europe that have done just an
10 excellent excellent study, a lot of patients, large
11 number of data, we finally have something, we have
12 that other piece of the puzzle to fit in here.

13 And I don't know whether Dr. Holtgrewe or
14 not will agree with me, but if you look at urology in
15 the last 15 years, we have probably had three big
16 events. We have had lithotripsy, we have -- that
17 have impacted patients' lives. We've had
18 lithotripsy, we have had the introduction of
19 intravesical BCG for the treatment of bladder cancer,
20 which has saved a lot of people's bladders. And then
21 we have sacral nerve stimulation, and it really fits
22 up there. It was a good study, it was done well, and
23 it's going to make a big impact. Thank you.

24 MS. CONRAD: Thank you, Dr. Brizzolara.

25
00018

DR. ZENDLE: A question. You may have

1 said it and I just don't see it written here, is how
2 long of a follow-up was this? It says research
3 period December '99 to January 2000.

4 DR. BRIZZOLARA: Actually, it started
5 March 1999 is when I first started doing the first
6 implant. Now the data that you have there, the --

7 DR. ZENDLE: Before and after?

8 DR. BRIZZOLARA: Yeah, before and after,
9 is three months. The last patient you have there
10 that was implanted, was three months ago. There have
11 been a few since then that were not included.

12 DR. ZENDLE: So it's a measurement of
13 three months?

14 DR. BRIZZOLARA: Right. Yes, sir?

15 DR. SIGSBEE: A couple of questions. I
16 appreciate you coming here today and presenting this
17 material. First of all, why would there be a
18 reconduction in pelvic pain? And the second is your
19 series obviously is a relatively small series; did
20 you apply any statistics to your results?

21 DR. BRIZZOLARA: I agree, it's a small
22 series, it's growing from a -- I'll address the first
23 question first.

24 The pelvic pain problem that we run into,
25 I rarely see that in a patient that also doesn't have

00019

1 urgency frequency. Now, why does the technology
2 work, we're not too sure. There's multiple theories
3 about the activation or more or less infantile
4 pathways that are reactivated because of trauma or
5 whatever. But if you see a patient that has urgency
6 frequency and it continues, then I see these people
7 that develop pelvic pain that seem to then go on to
8 IC. If you can break it at first, if you can stop
9 the urgency frequency syndrome early, if you can pick
10 a patient up one and two and three years after they
11 have started, then you can usually stop the pelvic
12 pain. But you rarely see pelvic pain without urgency
13 frequency, so you're going to get both of those at
14 the same time. Why you have pelvic pain, I don't
15 think anybody knows at this stage.

16 My data obviously is a small series
17 because it just began 18 months ago, and I have been
18 very selective. My criteria has been at 70 percent
19 improvement on test stimulation, as opposed to FDA
20 requirements of 50, so it would be larger if those
21 were included.

22 My statistical data, there have been no --
23 there has not been a good statistical analysis done
24 on this data. Whether or not it's statistically
25 significant would have to be something for the

00020

1 statisticians, but from a community base, it
2 statistically impacts my patients to the good, and
3 that's where I need to look at it, because I need to
4 be able to offer a patient when they come into my
5 office with fairly good assuredness that yes, this is
6 going to work. That's the advantage. There's
7 nothing else in medicine that I can think of, no
8 other treatment, that we can actually test first at a
9 relatively inexpensive cost, that allows us to with
10 70 to 80 percent assuredness, that a permanent
11 surgical procedure is going to take care of that.
12 Where before, the patient came in and they had
13 refractory urgency, urge incontinence, the only thing
14 I had to offer them was an augmentation, cystoplasty
15 or a cystectomy, which is a large surgical procedure,
16 with probably only 20 to 30 percent improvement.

17 Maybe I carried on too long.

18 MS. CONRAD: Thank you. I may have missed
19 it; did you state for the record financial
20 involvement?

21 DR. BRIZZOLARA: I do not have financial
22 involvement.

23 MS. CONRAD: Thank you, sir. Jeffrey
24 Welgoss, followed by Roger Dmochowski.

25 DR. WELGOSS: Thanks. You got it right

00021

1 the first time before so that's okay, I have no
2 problems with that.

3 Thank you. It's great to be here to
4 present some statements on behalf of the American
5 Urogynecologic Society. I'm Jeff Welgoss, I'm a
6 practicing urogynecologist in Northern Virginia, and

7 a member of the American Urogynecologic Society. I'm
8 going to refer to that as AUGS, just so I don't have
9 to repeat it several times. AUGS is a 21 year old
10 nonprofit organization with nearly 1,000 members who
11 have a special interest and/or expertise in the field
12 of urogynecology and reconstructive pelvic surgery.
13 Our membership includes gynecologists, urologists and
14 allied health professionals in academic and clinical
15 practices. The mission of our society is to promote
16 research and education in the specialty and to
17 improve the quality and delivery of health care to
18 women with pelvic floor disorders. I have no
19 financial disclosures to report, and on behalf of
20 AUGS, I'm pleased to provide expert testimony on the
21 clinical value of sacral nerve stimulation, or
22 perhaps more accurate, sacral neuromodulation in the
23 treatment of refractory urinary urge incontinence and
24 urgency frequency.

25 Personally, I have been using this therapy

00022

1 for the last two and a half years in private
2 practice. Urinary incontinence has been estimated to
3 effect up to or perhaps over 20 million patients,
4 most of whom are women, with an annual cost
5 approximation in the neighborhood of \$30 billion.
6 Urge continence is a condition where an individual is
7 unable to hold urine in response to the sensation of
8 urgency. This sensation may be triggered by bladder
9 volume and environmental stimuli.

10 As far as other definitions, urgency is
11 characterized as the powerful sensation to void, and
12 AUGS would agree with the definition of urinary
13 frequency as greater than seven voids daily. Members
14 of our society of AUGS were involved in the drafting
15 of the 1992 and '96 versions of the Agency for Health
16 Care Policy and Research guidelines, which
17 recommended that a trial of behavioral interventions
18 be applied to all appropriate patients with urge
19 incontinence prior to the use of more invasive
20 treatment such as drugs and surgery, and we continue
21 to support these recommendations.

22 Behavioral treatments for urge
23 incontinence include bladder training and pelvic

24 muscle exercises. Biofeedback and pelvic floor
25 electrical stimulation can be used as an adjunct to
00023

1 pelvic floor muscle exercises to improve the
2 patient's ability to learn and perform these
3 techniques.

4 Pharmacologic treatment has also been
5 successful in treating urge incontinence and
6 overactive bladder. However, pharmacologic treatment
7 is not without significant side effects, and has to
8 be discontinued in some patients due to the side
9 effects.

10 All these noninvasive modalities, however,
11 are not effective for all patients suffering from
12 lower urinary track dysfunction such as urge
13 incontinence and urgency frequency. In a situation
14 where first-line behavioral and pharmacologic
15 therapies fail in obtaining remission, AUGS supports
16 the use of surgical treatment methods that allow
17 patients to regain a quality of life.

18 Sacral nerve stimulation is reversible
19 therapy for treatment of refractory urgency frequency
20 and urge incontinence, and we support the use of
21 sacral nerve stimulation for the treatment of
22 refractory urge incontinence and urgency frequency,
23 as well as urinary retention in those patients who
24 have failed behavioral treatment including
25 biofeedback, pelvic floor electrical stimulation, or

00024

1 found pharmacologic treatments ineffective or not
2 tolerable.

3 The therapeutic effects of sacral nerve
4 stimulation rely on electrical stimulation of the
5 sacral nerve located in the low region of the spine.
6 The treatment of urinary incontinence with sacral
7 nerve stimulation involves stimulation by the
8 implantable system that you have already heard about,
9 including a lead, a neurostimulator and a connection
10 between the two. Prior to implanting the nerve
11 stimulator, the patient must first demonstrate a
12 positive response during the test stimulation period.
13 This consists of a three-to-seven day home
14 evaluation, with an internal lead and external

15 stimulator, where the patients complete a voiding
16 diary to assess their symptoms. Results at baseline
17 are compared with results during the test stimulation
18 and we would like our patients to demonstrate at
19 least a 50 percent reduction in the primary symptom
20 to be interested for long-term therapy.

21 Following the successful test stimulation
22 period and after consultation between the patient and
23 physician, the therapy may proceed with the
24 implanting of the sacral nerve stimulator system.
25 The surgical procedure takes between one and three

00025

1 hours and is usually performed under general
2 anesthesia.

3 Now just a little bit about data, some of
4 which you already have. The focus of the TEC
5 assessment is on a single study, Medtronic's
6 Multi-Center Clinical Study, using the Inter-Stim
7 system. The study is designed as a prospective
8 randomized trial, and we would like to add, in the
9 comparison group, patients actually served as their
10 own controls.

11 Of a total enrollment of 581, 260 patients
12 were eligible for implantation. Some of the
13 highlights, I would just like to highlight again. In
14 patients with urge incontinence, 79 percent of
15 implanted patients experienced a decrease of 50
16 percent or more in incontinence symptoms. 45 percent
17 of the implanted patients reported they were
18 completely dry. Out of the patients with heavy
19 urinary leakage at baseline, 70 percent had
20 eliminated heavy leaks.

21 Moving on to urgency frequency,
22 approximately a third of implanted patients reduced
23 their number of voids per day by at least 50 percent.
24 An additional third of patients with a baseline
25 frequency of seven or more voids daily reached normal

00026

1 voiding frequency. 61 percent increased their volume
2 per void by at least 50 percent, and 82 percent
3 improved their degree of urgency prior to voiding,
4 demonstrated by increased volumes over baseline with
5 the same or reduced degree of frequency.

6 Now these numbers are all very well and
7 good. I would like to stress, however, these were
8 patients who were failed by numerous other therapies
9 prior to sacral nerve stimulation, so we're talking
10 about a population of patients who have been selected
11 out to be people who have kind of failed just about
12 everything else we had to offer them prior to that
13 point.

14 Following up on that, to further document
15 the effects of sacral nerve stimulation on voiding
16 function at six months post-implantation, the
17 stimulation was temporarily turned off and voiding
18 diaries again collected. Statistical analysis of the
19 voiding diaries demonstrated a close return to
20 baseline symptoms for those patients with urge
21 incontinence, urgency frequency and retention. So
22 discontinuation of the stimulation resulted in a
23 return of this dysfunctional voiding pattern.

24 It indicates that the reduction of
25 symptoms for urinary voiding dysfunction observed

00027

1 with sacral nerve stimulation was attributable to the
2 therapy. In addition, these studies demonstrated
3 that the effects of sacral nerve stimulation therapy
4 are reversible and not dissociated with any kind of
5 deterioration of bladder function.

6 Now that's the largest study. When we
7 look at the remainder of the data, essentially these
8 results are consistent with just about every study
9 that has been expressed, and I include a bibliography
10 of some of the pertinent literature.

11 Just to kind of flesh this out, put a
12 little skin on this for you, I'm not going to talk
13 about necessarily large clinical studies, but I just
14 want to talk about one patient, and I can give you a
15 whole bunch of anecdotal stories, but once the yellow
16 light comes on I'll stop. But I want to just talk
17 about one patient now who is a patient and now a
18 friend of mine.

19 Carol is 37 years old, two young kids, had
20 urgency frequency over the last four to five years.
21 She had been treated with numerous anticholinergics,
22 she had been treated with Elmiron, she had been

23 treated with bladder retraining, pelvic floor muscle
24 exercises, pelvic floor electrical stimulation,
25 essentially everything that the medical community had
00028

1 to offer, yet she still had to void every hour. Some
2 of you I assume have driven in D.C. And know that
3 driving in D.C. Can sometimes be a challenge.

4 Because of this problem, because she had
5 to void every hour, Carol stopped going out any time
6 remotely close to rush hour. She stopped going to
7 her child's soccer games. She was afraid to drive
8 down to Richmond, so she became almost a social
9 outcast from her friends, from her friends at church,
10 from her children's social activities, and it really
11 impacted her life as far as how she could perform as
12 a mother, and this was a 37 year old very vital, very
13 healthy, very bright woman.

14 After having failed all the medical
15 therapies, finally was implanted after a test
16 stimulation period, and now voids approximately every
17 three hours. She's able to go to her kids soccer
18 game, she's able to see her church again, she's back.
19 I've got a letter from her mother, a thank you letter
20 from her mother in Miami, saying you know, thank you
21 for removing this dark cloud of bladder problems from
22 my daughter.

23 So just to flush it out, this is a real
24 therapy that affects patients' lives. So,
25 concluding, I want to say that sacral nerve

00029
1 stimulation provides patients and their physicians
2 with another effective treatment option to manage
3 urge incontinence, urgency frequency and
4 nonobstructive urinary retention. Sacral nerve
5 stimulation is notably effective in cases refractory
6 to or inappropriate for conventional therapy. To
7 further describe the importance of sacral nerve
8 stimulation, AUGS would stress that this is a
9 breakthrough technology and has been proven to be of
10 significant benefit to many patients with refractory
11 urgency and urge incontinence who have failed
12 standard therapies.

13 Patients with these voiding functions

14 found to be refractive to standard therapy should be
15 evaluated by a physician trained in the diagnosis and
16 treatment of voiding dysfunction. If it is
17 determined that these patients are candidates for
18 sacral nerve stimulation, they should be offered
19 testing and implantation of sacral nerve stimulation
20 devices as indicated.

21 The American Urogynecologic Society is
22 hopeful that a positive coverage policy for this
23 therapy will help to further research and development
24 of the therapy by the manufacturing community and
25 continue providing quality health care options for

00030
1 Medicare beneficiaries. Thank you for your
2 attention.

3 DR. ZENDLE: Question. Could you just
4 clarify that you're speaking on behalf of the
5 American Urogynecologic Society, who feels that this
6 is breakthrough technology of proven benefit?

7 DR. WELGOSS: Yes.

8 DR. ZENDLE: So you're speaking on behalf
9 of them?

10 DR. WELGOSS: I am speaking on behalf of
11 the American Urogynecologic Society.

12 DR. ZENDLE: And the last thing is, in the
13 last paragraph you say that AUGS is hopeful for a
14 positive coverage policy so that it will help to
15 further research and development of this therapy.
16 Can you just explain, if it's proven, why you think
17 there should be more research, or is it something
18 different?

19 DR. WELGOSS: Well, I think we've got a
20 fairly valuable body of research already. I think
21 that ongoing research, not only in urinary urgency,
22 urinary frequency, is going to be helpful in defining
23 perhaps better those patients that are going to be
24 most effectively treated by the therapy.

25 There are also a number of other things.

00031
1 John alluded to earlier about pelvic pain syndrome
2 and exactly why this works and some of the stuff,
3 there are theories but nobody knows for sure. But
4 we've noticed that patients with pelvic pain

5 disorders, interstitial cystitis, often improve with
6 their pain in addition to the two issues we're
7 talking about today. In addition, we found that
8 patients with colorectal dysfunction have also
9 improved, patients with constipation and irritable
10 bowel, patients with fecal incontinence.

11 So, I think the area for further research
12 may be in different indications and also hopefully
13 fine tuning those patients who are going to be best
14 able to benefit from the therapy.

15 DR. ZENDLE: Thank you.

16 DR. TUNIS: I just want to ask one quick
17 question. I know we have spoken in the context of
18 other incontinence therapies, and I'm just curious.
19 In your experience, sir, what's the estimated size of
20 the subpopulation of patients with urgency, urgency
21 frequency who have failed all the other levels of
22 interventions you've discussed, the pharmacologic,
23 the behavioral, the pelvic floor and the biofeedback?
24 What pool of patients does that leave, in your
25 experience?

00032

1 DR. WELGOSS: I think when you take the 20
2 million or so Americans that leak urine, this is
3 obviously comparably a smaller pool. Fortunately,
4 most patients will respond to pharmacological and
5 behavioral therapies. I don't know that there's any
6 real estimate as to exactly how large that pool is.
7 Now, there are some studies that would suggest that
8 somewhere 50 and 60 percent of patients are unhappy
9 with the current incontinence therapy that they are
10 undergoing. Whether or not those are patients that
11 are willing to undergo a slightly more involved
12 surgery, a more invasive procedure rather than
13 continue to take medication and just being unhappy,
14 nobody has really defined. But I think there is a
15 body of patients that are unhappy with the therapies
16 that they're undergoing, and it's probably not as
17 large as 50 percent of everybody with urge
18 incontinence, but it's not as small, I think, as we
19 think.

20 MS. CONRAD: Thank you. Roger Dmochowski,
21 followed by George Mamo.

22 DR. DMOCHOWSKI: Good morning, panel. My
23 name is Roger Dmochowski, and I am presenting the
24 position statement of the American Urologic
25 Association on neuromodulation for the management of
00033

1 voiding dysfunction. My only relationship with
2 Medtronic is that of an implanting physician.

3 You have been bombarded with a substantial
4 amount of information. We have given you a similar
5 bibliography, I think, to what you may have seen from
6 several other sources. I would reference our
7 bibliography in your packet and also have you
8 correlate that with whatever else you have in your
9 packet from other sources.

10 There has been much discussion today about
11 demographics of incontinence and I think part of the
12 problem that you have to deal with is what we have to
13 deal with as treating physicians. And I as a
14 urologist will tell you that the demographics of this
15 disease are changing. Some of that is due to
16 improved patient awareness and patient acknowledgment
17 of better therapies out there. We saw a slide
18 earlier that said 13 million people have
19 incontinence, recent studies have estimated 17 to 20
20 million have incontinence, 80 to 85 percent of those
21 are actually women. So that's probably a more
22 realistic number, but please keep in mind that you
23 may in six months see a slide that tells you it's 25
24 million, because again, as the respondents to varying
25 survey analysis increase, the number does go up.

00034

1 Most importantly and of importance to you
2 as a Medicare advisory group, are in the female
3 population over 60, 30 to 35 percent of those
4 patients actually will experience voiding dysfunction
5 including incontinence. So that's a very important
6 point to keep in mind in terms of the overall effect
7 of, disease effect, disease magnitude of effect in
8 that population.

9 It's hard -- it was a very interesting
10 question that Dr. Tunis asked regarding what are the
11 estimates regarding how many patients actually have
12 the specific disease that we've been asked to

13 evaluate today, which is refractory urgency
14 incontinence, patients who either have not tolerated
15 standard therapies or have failed standard therapies.
16 I can tell you that there is interesting data out of
17 the pharmaceutical world that says there are actually
18 1.5 to 3 million patients actually actively on
19 pharmaceutical medication for OB, quote-unquote,
20 overactive bladder, which is urgency frequency and
21 urge incontinence, as previously defined.

22 There are other data that Medtronic I
23 believe has on file, regarding estimates that they
24 have regarding the estimated incident of patients who
25 may be applicable for implant therapy. So again,

00035

1 keep in mind from the standpoint of what you need to
2 in terms of evaluating the overall magnitude of
3 treatment effect is that again, the numbers are
4 changing, and they are going up rather than down.

5 I think many of you are familiar with the
6 actual device and the overall point of therapy, which
7 is direct stimulation or neuromodulation of the
8 pelvic arc. We don't really know why this therapy
9 work. There are some very good animal studies to
10 suggest some neuroplasticity and downgrading of
11 reflex activity within the sacral reflex, or arc,
12 both from the afferent and efferent circumstance.
13 But if you wanted one unifying pathophysiologic
14 explanation for why this modality works, we don't
15 have it yet, but it does work.

16 As has been mentioned, the therapy is
17 delivered via a low sacral approach, and the best
18 results are obtained with simultaneous fluoroscopic
19 implantation. Some investigators also use
20 electromyography to help implantation effect.

21 As has been alluded, there are two phases,
22 both a test and a permanent phase. The test phase is
23 a much shorter phase of three to seven days, where
24 the patient actually via diary communicates with the
25 physician of the overall response they had to

00036

1 therapy.

2 The device is composed of two main
3 components. One is the lead, which is actually the

4 contact point between the nerve and the system, and
5 then obviously a generator which is implanted through
6 a separate incision in a site somewhat distant from
7 the actual lead implant site. There are other
8 alternative methods being currently evaluated which
9 we don't have much data for, with regard to
10 implantation of devices at alternate areas of the
11 nerve system for neuromodulation, specifically the
12 posterior tibial nerve. Much has been done with the
13 old acupuncture treatments.

14 We will limit our literature analysis to
15 four basic articles, mainly because of the panel's
16 requirement that they really consider randomized
17 control data as the most important decision-making
18 process. There is a substantial body of secondary
19 information, what would be considered quote-unquote,
20 secondary information, which you're well aware of,
21 but from the standpoint of randomized control trials,
22 I would like to reference the trials by Bosch and
23 Schmidt, as well as Hassouna, in 1999 and 2000,
24 respectively, which really formed the basis of the
25 FDA application by Medtronic for device approval.

00037

1 The numbers are fairly dramatic; again,
2 these are patients who have failed other therapies
3 and intensive other therapies, and you see numbers in
4 the order of 60 percent cured, substantially improved
5 in Bosch's study, and 70 percent in Schmidt's study.
6 Again, very impressive rates when you consider this
7 refractory population to other interventions.

8 I think a point the panel must keep in
9 mind to make a balanced decision regarding this is
10 that currently there is a device revision rate that's
11 approximating 30 to 35 percent which you should be
12 aware of, and that has something to do with the fact
13 that the technology is still somewhat in evolution in
14 terms of the best way to implant it and ways to
15 maintain permanent lead contact with the sacral
16 reflex arc.

17 As I alluded to, Hassouna's publication in
18 2000 specifically dealt with urgency and frequency.
19 The prior two were urge incontinence studies. And
20 again, when you look at the effect of this treatment

21 on urgency and frequency, again, you see substantial
22 reduction in both frequency and volume voided, as
23 well as degree of sensation of urgency.

24 And again, urgency is a very subjective
25 phenomenon which is really best analyzed by analog

00038

1 scales or subjective assessments; it's very difficult
2 to get a quantitation of that in any objective
3 format.

4 In a very interesting publication which is
5 not specifically a randomized controlled publication,
6 but one that you should be aware of is one that was
7 recently published by Siegel et al., which
8 demonstrates the effect of this therapy is maintained
9 in the majority of patients at 24 months, which again
10 implies the chronicity of therapy does not impact
11 upon overall response.

12 I think in making your decision you must
13 consider that we don't have a substantial body of
14 randomized control data to make a decision with, but
15 what is out there is well done data and would
16 certainly be classified as primary in terms of the
17 instructions that you have been given. And as I
18 alluded to, there are other secondary type data,
19 objective well done scientific publications that are
20 not randomized control, but which again, vouch for
21 the efficacy of the therapy as delineated by the
22 randomized control trials.

23 As I alluded to, the revision rates are
24 something that are the function of the technologic
25 development. I think there will be an expected

00039

1 decrease with time as device innovations occur and as
2 implanting physicians really get over their learning
3 curve and become much more familiar with the therapy.
4 But most importantly, there are no serious
5 morbidities associated with the implantation of this
6 therapy.

7 And again, I think it's important to
8 realize that there is a necessary expertise that
9 physicians have for this implantation; it's not
10 something that can be done without a training course
11 and rigorous proctoring for the person to reach, or

12 the implanting physician to become capable of
13 performing the implant without supervision.

14 Based upon the analysis of the literature,
15 the American Urologic Association would like to go on
16 record to you as saying that we believe this is a
17 level 1 or breakthrough technology. It really does
18 represent a tremendous step forward for patients who
19 otherwise had only an option of surgical
20 intervention.

21 The surgical intervention that was most
22 commonly used in these patients is bladder
23 augmentation, which has, if you look at the pooled
24 data from the literature, again, there's no
25 randomized control data really to look at bladder

00040

1 augmentation, but only about 30 to 35 percent of
2 those patients actually do well on that therapy. So
3 again, you have a substantial improvement over a
4 straightforward surgical intervention with this type
5 of intervention.

6 We believe it does have a high magnitude
7 of treatment effect for patients who have failed
8 primary therapies, and those therapies were alluded
9 to previously by the AUGS presentation. I think it
10 does have, and we do think from the American Urologic
11 standpoint, that it has a probable substantive effect
12 on the Medicare beneficiary population. Thank you.

13 MS. CONRAD: Thank you very much. George
14 Mamo, and the next speaker will be Kristine Whitmore.

15 DR. MAMO: Good morning. I would like to
16 thank the panel for allowing me to present today. My
17 name is George Mamo, and I am a private practice
18 urologist here in the Baltimore area. I have a
19 specialized interest in urinary incontinence and
20 voiding dysfunction, and I have been doing this for
21 about eight years since I finished my residency here
22 in Maryland, University of Maryland.

23 I direct the Maryland Bladder Center,
24 which is located at St. Agnes Hospital just a few
25 miles from here, and I have been doing this therapy

00041

1 for about two, two and a half years now. I have
2 become a very active implanter, I have done about 58

3 or maybe 60 implanted generators since I started
4 doing this, and I have become a firm believer in this
5 therapy.

6 My relationship with Medtronic is that I
7 am a proctor. As you may know, most physicians that
8 want to do this therapy have to go through an FDA
9 required process where they go through a two-day
10 certification course and they have been to be
11 proctored in all the surgeries when they do them. So
12 I travel around, and I proctor these physicians. I
13 am here on my own behalf and on behalf of my patients
14 who have this terrible problem.

15 I feel strongly about Inter-stim and I
16 think that has provided us with a very good tool that
17 we never had before. Most patients have been treated
18 before with behavioral modification or medication and
19 other ways of dealing with this problem, but all this
20 has failed. I know of no more treatment options, and
21 none that are as effective.

22 I have a brief presentation today on my
23 experience with geriatric patients. In my practice,
24 we have a very large geriatric population. This is
25 the data I just presented just two days ago at the

00042

1 Mid-Atlantic section of the American Urological
2 Association, which I would like to also present here.

3 We looked at 34 consecutive patients, all
4 from a range of 60 to 81, so the mean age was 70, and
5 all these patients have refractory urge urinary
6 incontinence. Most of them were female, 82 percent,
7 and most of them have had this problem for many
8 years, and the mean number of years for this
9 condition was about 2.3. They all have gone through
10 all the traditional treating modalities, including
11 medication, with anticholinergic drugs in
12 particular, 97 percent. 91 percent underwent
13 behavior modification with pelvic floor exercises,
14 biofeedback, EMG, change in their voiding habits,
15 change in dietary habits. 40 percent underwent some
16 form of nonsurgical intervention such as urethral
17 violation, bladder hyperdistention, and so on. And
18 approximately 63 percent have had some kind of
19 surgery, mainly some form of bladder suspension.

20 They all underwent the usual evaluation
21 with a history and physical examination, and the
22 urodynamics testing. They all were evaluated with a
23 48-hour voiding diary which looked at urge
24 incontinence episodes, pad usage, and frequency, and
25 the same was done in follow-up.

00043

1 Of the 34 patients that underwent
2 percutaneous nerve stimulation, 14 of those or 41
3 percent were successful and went on to permanent
4 implantation. Six were dry and eight were greater
5 than 50 percent improved.

6 I would like to add here that about ten of
7 those patients that failed had a problem with lead
8 migration and the lead moved before we could get an
9 adequate response, so we don't know if those patients
10 would have responded, so I would guess that there is
11 probably a certain percent of those that may have
12 gone on to permanent implantation, so this 41 percent
13 may actually be a higher number.

14 Of the 14 patients that went on to
15 permanent implantation, at about six months
16 follow-up, three were dry, six had a greater than 50
17 percent improvement in their symptoms, three failed
18 and two -- three had less than 50 percent
19 improvement, and two failed. So our overall success
20 rate was about 65 percent.

21 We compared voiding diaries before surgery
22 and after, and if you look at the number of leakage
23 episodes per day, this went down from 7.93 preop to
24 3.96. The number of pads used went down from 5.11 to
25 2.32 pads, and both of these were statistically

00044

1 significant. The voiding episodes per 24 hours went
2 from 11.75 to 9.5, and this was not statistically
3 significant.

4 We asked patients about how they felt
5 about the therapy. 11 of the 14 were satisfied and
6 would have the operation again, and 12 would
7 recommend it to family and friends.

8 We did not experience any major
9 complications or problems with this. Most patients
10 did well. None of the patients were explanted, none

11 of the patients developed any infections or chronic
12 pain. We had two patients that had lower extremity
13 ipsilateral pain for a few weeks after surgery, that
14 resolved spontaneously.

15 So, I could like to conclude that sacral
16 neuromodulation in the geriatric population is
17 effective, and I feel that it definitely has a role
18 in these patients. I would also like to add that, in
19 the geriatric patients in particular, those I think,
20 if you look at the nursing home admission rate, I
21 think that urinary incontinence is probably one of
22 the main causes of nursing home admissions, and I
23 think if we can make an impact on the management of
24 these patients, then we could make an impact on the
25 nursing home population. There is a lot of -- a lot

00045

1 of these patients don't want to leave home, don't
2 want to go to nursing home, but because of the
3 problem with incontinence, they even end up having
4 to, that creates a major problem for their family
5 members, whoever supports them at home, and they end
6 up in a nursing home prematurely. So I think if we
7 can make an impact on their management of their
8 incontinence, we can make an impact on the nursing
9 home admissions and there is a lot of ramifications
10 to that. I think that's all I have to say. Thank
11 you.

12 MS. CONRAD: Thank you, Dr. Mamo.

13 DR. MAVES: Let me just ask you, can you
14 take us through sort of, I guess what I need is a
15 treatment algorithm, for how patients end up to this,
16 and sort of what the success are. I think you sort
17 of mentioned using meds, behavioral modification,
18 nonsurgical treatments, and surgery, and you gave
19 some percentages of patients in your experience that
20 had those. But sort of some rough numbers regarding
21 success, I guess kind of getting down to what can we
22 expect as a progression sort of, of patients through
23 this, and what's their chance of success with each
24 one of those, in your experience?

25 DR. MAMO: A typical patient that comes to

00046

1 me with urinary incontinence, after they go through

2 their initial evaluation and testing, I usually try
3 to do some kind of behavioral modification. I start
4 with some simple things like getting them on a time
5 voiding schedule, so they void every hour, every two
6 hours, as opposed to waiting three hours to go
7 urinate. I try to change some of the things in their
8 diet like stopping caffeine or spicy food in a diet,
9 which can irritate the bladder. I start them on some
10 kind of pelvic floor strengthening regimen,
11 biofeedback or EMG or electrical stimulation, or
12 Kegel exercises.

13 Once they go through that process for a
14 few weeks, if they have not -- or a few months in
15 terms of the pelvic floor strengthening, I go on to
16 medication. I try some form of anticholinergic
17 drug, Ditropan or Datril or so on. And it's once
18 they fail those then, if I feel that the patient is
19 still having significant symptoms and they are not
20 happy or content with their problem, or if they've
21 had side effects with the medication even though they
22 have responded, I will look at considering this
23 option.

24 Dr. MAVES: And what's your sense, if you
25 start out with a hundred patients, how many get

00047
1 better after sort of conservative management?

2 DR. MAMO: I would say with conservative
3 management, 28 to 30 percent. With medication, you
4 add another probably another 30, 40 percent. I would
5 say there is maybe about 40 percent of patients, 40,
6 maybe 35, who will not respond to any of those and
7 have to go on to potentially become Inter-stim
8 candidates.

9 MS. CONRAD: Thank you, Dr. Mamo.

10 DR. SIGSBEE: Just one more quick
11 question. About 35 percent do not have a good
12 response at least as you categorized it here. Do you
13 have any particular characteristics of that
14 population? You obviously go through a selection
15 process. Why do those particular people not have a
16 good response?

17 THE WITNESS: I don't know if I have a
18 good answer to that. Part of this, I think there may

19 be a psychological component to this, but I really
20 don't know why these patients do not respond. I
21 think there is something physiological or anatomical
22 that we're aware of that explains that, but I don't
23 think I have an answer to that.

24 DR. GARBBER: Okay. Let me just make a
25 suggestion to the panel. We have a large number of

00048

1 speakers this morning and it might be best to hold
2 your general questions to the end, and I hope that
3 the speakers will stay here so we will have a chance
4 to ask all of your questions, because I suspect some
5 of these questions will be addressed in some of the
6 other presentations. So I would like to ask you to
7 limit your questions as much as possible after each
8 speaker speaks, to points of clarification and so on.
9 And the general questions, hopefully we can pose at
10 the end of the public speaking section. Thank you.

11 DR. MAMO: Thank you.

12 MS. CONRAD: Kristine Whitmore, followed
13 by Nancy Muller.

14 DR. WHITMORE: Good morning, distinguished
15 panel members. Thank you for giving me the
16 opportunity to testify here today about this most
17 important topic. I am a proctor for Medtronic and
18 have no other disclosures to review, and I am here as
19 a patient advocate.

20 My name is Kristine Whitmore. I am a
21 clinical associate professor of urology at MCP
22 Hanneman University, and director of the pelvic floor
23 institute at Graduate Hospital in Philadelphia. I
24 have seen more than 10,000 patients with frequency,
25 urgency, pelvic pain and/or urge incontinence over

00049

1 the past 15 years, and have been involved in greater
2 than 20 clinical and basic science research
3 protocols. I am also a board member of the
4 Interstitial Cystitis Association, and I will be
5 testifying this morning on their behalf.

6 The ICA is a national nonprofit
7 organization dedicated to improving the lives of
8 patients who suffer from interstitial cystitis or IC,
9 all of whom have frequency and urgency. IC is a

10 chronic inflammatory condition of the bladder that
11 frequently goes undiagnosed with patients seeing more
12 than five physicians and waiting up to five and more
13 years for diagnosis.

14 The cause of IC is unknown. Therefore,
15 there is no cure. Treatment options are minimal and
16 no one treatment is uniformly effective for everyone.
17 IC symptoms include bladder pain, urinary urgency,
18 persistent, and day and nighttime frequencies of up
19 to 60 times a day, suprapubic or perineal pain and
20 supra-pressure pressure on bladder filling. Although
21 the average age of onset is 40, 25 percent of IC
22 patients are under the age of 30 and 20 percent are
23 well over the age of 65. Although 90 percent are
24 women, preliminary studies of men with nonbacterial
25 prostatitis indicate they may have IC as well.

00050

1 One million U.S. Citizens have this
2 condition and an exhaustive plethora of treatments
3 are usually utilized, conservative in nature, but
4 they fail to provide symptom relief in more than 35
5 percent of patients. 17 million Americans have
6 overactive bladder, and IC is perhaps the most
7 drastic form of the overactive bladder.

8 I would like to share with you some
9 preliminary data that I have collected that shows
10 that sacral nerve stimulation is an efficacious form
11 of treatment for patients with pelvic floor
12 dysfunction, inability to contract the muscles,
13 inability to relax high tone muscle spasm. These
14 patients all have urge incontinence and/or
15 interstitial cystitis. May I have the slide?

16 So, our purpose was to evaluate the use of
17 neuromodulation utilizing the Inter-stim device, in
18 patients with bladder related symptoms and other
19 pelvic floor disorders. We implanted 17 patients.
20 15 were females, the mean age was 60, the mean
21 follow-up period was 13.4 months, 22 months the
22 greatest. The primary end point was the patient's
23 perceptions of symptoms. Old fashioned, zero percent
24 no improvement, 25 percent mild, 50 percent moderate,
25 75 percent marked, and 100 percent cured. 15 of the

00051

1 17 had urge incontinence. All 17 had bladder
2 overdistension cystoscopic evidence of interstitial
3 cystitis. 10 had pelvic pain as a significant
4 symptom on a persistent basis. Two had fecal
5 incontinence which was due to anal sphincter
6 incompetence. Five had constipation, and three had
7 diarrhea.

8 So as we can see, there is quite an
9 overlap of pelvic floor disorders. Most people don't
10 have just frequency and urgency; most people have
11 frequency urgency, pelvic floor dysfunction, and/or
12 concomitant bowel problems. 16 of the 17 considered
13 the procedure a success; up to 82 percent of patients
14 reported at least marked, or 75 percent improvement,
15 for all of their symptoms, except for those who had
16 sphincteric incompetency fecal incontinence. There
17 was an average of 9.3 reprogramming events. After
18 the implant is implanted, we follow them up
19 regularly, usually at monthly intervals. The mean
20 amplitude of a max of 10 was 3.1 volts.

21 In the urge incontinence group, 1 cured,
22 12 had marked marked improvement, so that we can see
23 70 percent had a success of 75 percent or more
24 improvement in symptoms. In the interstitial
25 cystitis population there is no cure available at

00052

1 this time, but 82 two percent had marked improvement,
2 which is significant seeing that 35 percent of IC
3 patients in general report no persistent relief in
4 their symptoms with our other modalities of
5 treatment.

6 Pelvic pain on a persistent basis was
7 found in 10 patients and again, this is usually due
8 to pelvic floor muscle dysfunction or a high tone
9 pelvic floor. 20 percent cured, 50 percent had
10 greater than 75 percent improvement, so that 70
11 percent were significantly better in terms of their
12 pain, which also impacts sexual function. 80 percent
13 of patients with interstitial cystitis have sexual
14 dysfunction based on a pain basis. These patients
15 now are able to have sexual activity again, which
16 greatly impacts their quality of life.

17 And interestingly, GI results of the five

18 who had constipation, four were markedly improved.
19 Of the diarrhea patients, two of the three were
20 markedly improved. And as we mentioned, there were
21 failures in the sphincteric anal incontinence. The
22 therapy obviously was not chosen for these people,
23 this was a concomitant disorder.

24 So you can see a significant reduction in
25 bowel problems as well as bladder problems. There

00053

1 thus was a significant symptom relief reported by
2 patients with urge incontinence, interstitial
3 cystitis, pelvic pain, diarrhea and constipation.
4 Sacral nerve stimulation continues to be an
5 efficacious form of treatment for patients with
6 pelvic floor dysfunction.

7 En route is a multicenter studies on
8 symptoms improvement with a test stimulation portion
9 of the procedure in patients with diagnosed IC, and
10 also follow-up data which will show scientific
11 evidence that is of statistical quality, will be
12 delivered on voiding diary, O'Leary symptom, and
13 problem index for IC, Likert scales for urgency and
14 pain, a Rosen's sex questionnaire and a bowel diary.

15 IC is a severe form of the overactive
16 bladder affecting one million Americans. Inter-stim
17 therapy is a valuable form of therapy for patients
18 refractory to standard conservative therapy, and may
19 prevent cystectomy, radical surgery, as the only
20 therapy left for a group of patients who has failed
21 all conventional therapies for IC. I would encourage
22 you to vote yes on this breakthrough technology.

23 I will give you one brief story. Wally is
24 48 years old. He has been a television talk show
25 host for 22 years. I met him four years ago, on the

00054

1 verge of being fired because he was on narcotics,
2 couldn't focus, he had gained weight, because he had
3 frequency urgency and severe pelvic floor dysfunction
4 with pain. He had tried dietary modification,
5 bladder retraining, physical therapy for his pelvic
6 floor muscle dysfunction, Elmiron therapy, which is a
7 drug that is used commonly for Elmiron, and pretty
8 high level antidepressants and narcotics. He is 2.2

9 years out now. Wally has a television show, he has a
10 large following, he has no narcotic utilization, he
11 is off his antidepressants, and he is sexually active
12 again for the first time in almost 16 years. Thank
13 you very much.

14 MS. CONRAD: Thank you, Dr. Whitmore.
15 Nancy Muller, please, followed by Janet Smith. We
16 do have a cancellation, if you're following. Dave
17 Gordon is not here today.

18 DR. MULLER: As the executive director of
19 the National Association for Continence, I am both
20 honored and pleased to be with such leading
21 authorities speaking on the value of sacral nerve
22 stimulation in the treatment of refractory urge and
23 urge frequency incontinence. My association, by the
24 way, with Medtronic is that the company is one of
25 about 18 industry council members contributing to our

00055

1 organization. I am here today as a patient advocate.

2 First of all, who and what is represented
3 by the National Association for Continence or NAFC?
4 We're the single largest, most prolific consumer
5 advocacy organization devoted exclusively to
6 incontinence in the world, and I can personally
7 attest to this because I have represented NAFC at
8 gatherings such the International Incontinence
9 Society meeting, as far as away as Athens, and World
10 Health Organizations on the subject in Bonn.

11 While the mailing list of our quarterly
12 newsletters reaches initially 130,000 individuals,
13 we know that the readership is at least a quarter of
14 a million people, because our literature is so freely
15 shared by our readers. We are broadly funded by
16 industry, foundations, health care professionals, and
17 our consumer members. We have a proactive agenda,
18 not a work plan driven by the funding of special
19 interest groups. Since our inception about 20 years
20 ago, our mission of consumer advocacy, education and
21 information dissemination through networking, has not
22 faltered.

23 Well, you know the numbers on
24 incontinence, you heard them earlier. As many as 25
25 million Americans suffer from urinary incontinence,

00056

1 and at least 18 million of those are experiencing
2 chronic rather than transient incontinence. But how
3 do these consumers, how do these individuals really
4 feel? Well according to the research that we have
5 conducted on our newsletter readership, 20 percent of
6 survey respondents indicate that their incontinence
7 is a major problem, and there is no statistical
8 difference in these responses by gender. Those in
9 the lowest income bracket are disproportionately more
10 seriously affected they say, as are those under age
11 45, because of the quality of life they feel they're
12 sacrificing. And satisfaction with treatment or
13 dissatisfaction as the case may be, is not a function
14 of how much they are spending on managing or trying
15 to treat their incontinence.

16 We have done now six of these surveys over
17 the last 20 years, our most recent was completed last
18 year, and the one before that in 1996. And as you
19 heard from an earlier speaker, the level of
20 dissatisfaction with treatment for a variety of
21 reasons is quite high. It hovers around 62 to 63
22 percent of the people responding to the survey. This
23 may partially bespeak the sheer complexity of
24 properly diagnosing and treating incontinence, but it
25 also suggests that there are gaps in what people have

00057

1 access to.

2 Where can consumers turn? Well, sacral
3 nerve stimulation should be explored as a midline
4 option, we feel as an organization. Patients seeking
5 answers may have unsuccessfully enrolled in
6 everything ranging from pharmacotherapy,
7 hydrodistension, external stimulation in the form of
8 transcutaneous biofeedback, urethral dilation, pain
9 management of different degrees and sorts, cones,
10 timed voiding, psychological counseling, and even
11 surgery sometimes. Just imagine, over the years and
12 years of undergoing this, how frustrated they must
13 feel.

14 And I hasten to point out that consumers
15 tell us in the research that we conduct that they
16 actually prefer conservative therapy. In fact, a

17 majority of respondents to our more most recent
18 consumer survey indicated that they were most pleased
19 with the behavioral therapies that they had pursued
20 for their incontinence. But, I will add that the
21 ones that are most pleased tend to also be the ones
22 who either suffer from slight leakage or have been
23 diagnosed with stress or stress urge incontinence.
24 The reason I point this out is that sacral nerve
25 stimulation is designed to treat the symptoms of urge

00058
1 or urge frequency incontinence, not stress
2 incontinence.

3 And I will add just two more statistics
4 that I think are revealing. Only 3.3 percent of our
5 survey respondents considered themselves cured
6 following what they deemed to be their most helpful
7 treatment, and only 8.6 percent expressed that they
8 were very pleased with their outcomes. Clearly,
9 there's a gap.

10 Why does urge and urge frequency
11 incontinence affect peoples lives so significantly,
12 why is it so much more debilitating and isolating
13 than stress incontinence? Well, there are a couple
14 of reasons. First of all, it's just downright
15 unpredictable. You have already heard the stories
16 about trying to get through traffic and to children's
17 soccer games, or to attend church. The accidents
18 tend to be larger, in other words, when urine is
19 lost, a larger amount of urine is lost that it
20 typically is with stress incontinence, and absorbent
21 products aren't always enough protection, so there's
22 room for lots of social embarrassment. The frequency
23 of urination tethers the individual to the toilet or
24 to a urinal; it thereby restricts their freedom and
25 their activities.

00059
1 Those without access to sacral nerve
2 stimulation, who are otherwise valid candidates, face
3 a more drastic and more morbid option, such as
4 urinary diversion, or they simply face remaining
5 incontinent and miserable. Finally, we have a less
6 radical, or less extreme choice.

7 But who are these people? Just think of

8 them as individuals. They are individuals with
9 multiple sclerosis or spinal cord lesions, or
10 neurologic disorders, just to name a few examples.
11 How much do our country's continence care specialists
12 believe in sacral nerve stimulation? Well already,
13 even though this is a relatively new procedure, 120
14 of NAFC's 750 continence referral affiliates are
15 fully trained in sacral nerve stimulation. Now, this
16 database of sources, names that we give to consumers
17 when they call us asking for help, go through an
18 elaborate grid of questions by us to qualify them,
19 and I think it's significant that on that list of
20 those trained in sacral nerve stimulation include the
21 likes of Rod Appel at the Cleveland Clinic, Janelle
22 Foote at Shepard Spinal Cord Injury Center in
23 Atlanta; both of them are on our board of directors.
24 Neil Galloway, who's head of the continence center at
25 Emory, and Alan Wing, the co-chair of the Bladder

00060

1 Health Council, just to name a few.

2 What we're really talking about here is
3 quality of living, not life or death scenarios, and
4 in this day and age, we are living the reality of
5 chronic illnesses and conditions, not catastrophic
6 traumas that threaten our existence. And when people
7 don't have access to answers and they suffer from
8 retractable urge or urge frequency incontinence, they
9 have a tendency to do a few things. They restrict
10 their water or fluids, leading to constipation, which
11 exacerbates their symptoms. This can lead to also
12 dehydration or chronic urinary tract infection, all
13 which need medical intervention. Or they may suffer
14 from slips and falls when rushing to the toilet and
15 this can result in broken hips, and fractures,
16 arthritic conditions, immobility, and again, they are
17 still saddled with their incontinence.

18 I would like to echo Dr. Brizzolara's
19 remarks about sleep deprivation and disorientation
20 and depression, already a major problem in the
21 elderly. And I echo too Dr. Mamo's remarks regarding
22 incontinence in nursing home admissions. Research
23 does show that it's the top two or three reasons that
24 families and care givers take an individual to a

25 nursing home.

00061

1 I call this panel to action to recognize
2 sacral nerve stimulation among the repertoire of
3 options for individuals, as a medical necessity when
4 other more conservative treatments fail, and to
5 return dignity to life, and life to living. Thank
6 you very much.

7 DR. OLECK: I just have a question. A
8 number of the physicians have talked about
9 satisfaction surveys that they have done on their
10 patients and we know that sometimes patients may feel
11 pressured in their response to questions from the
12 physician who did that. I am just wondering whether
13 your organization does any satisfaction surveys with
14 respect to various treatments for urinary
15 incontinence, and if you in particular, whether you
16 have done any kind of survey with respect to this
17 procedure?

18 DR. MULLER: Our surveys have just begun
19 to ask questions about satisfaction with treatment
20 because in the past our questions focused more on
21 just how motivated people had been to seek proper
22 diagnosis and treatment. And we're now, as more and
23 more are seeking treatment, we are turning our
24 questions to that. We have not segregated questions
25 regarding satisfaction in such a way that we could

00062

1 correlate sacral nerve stimulation treatment with
2 their responses to their level of satisfaction,
3 mainly just because the numbers are still too small
4 to be statistically valid. But we are starting to
5 compare responses by diagnosis, and that's what I
6 spoke about a few minutes ago regarding those
7 satisfied who had been diagnosed with stress, versus
8 those who had pursued nonbehavioral treatment.

9 DR. OLECK: Thank you.

10 DR. ZENDLE: Do you have focus groups and
11 groups for patients with incontinence so that if
12 patients who underwent this were unhappy with it, you
13 would have heard, or if here they are happy with it,
14 do you hear, or isn't that really the function or
15 purpose or role of your organization?

16 DR. MULLER: Generally, we hear when
17 people are frustrated, those are the people who are
18 calling us saying they've tried this, they tried
19 that. We are, because we are a national
20 organization, it's a little hard to organize focus
21 groups around the country, because it's a little hard
22 to get, to solicit people to sit in a room and talk
23 about their incontinence. We have in the past year
24 just formed a new consumer advisory panel, so those
25 are questions that we can begin to ask, but what we

00063
1 try to do is match up people with resources for
2 further treatment.

3 We don't know all the reasons for why they
4 are dissatisfied, we don't know if it's because they
5 had unrealistic expectations in the first place, we
6 don't know if it's because they went to a health care
7 provider who wasn't fully trained in incontinence
8 diagnosis and treatment, or if they just got
9 misdiagnosed and therefore, mistreated. So we don't
10 really don't know all the reasons for why they are
11 unhappy.

12 MS. CONRAD: Thank you. Janet Smith
13 please, followed by Kimberly Oleson.

14 DR. SMITH: I'm Dr. Janet Smith. I'm in
15 solo private practice in Sioux Falls, South Dakota,
16 and I'm here on behalf of my patients. I have no
17 interest in Medtronic except that I implant and use
18 the nerve stimulator myself. I started in February
19 and so far I've implanted 12 patients, so they are
20 small in number but the results have been
21 significant.

22 And if you would have told me seven years
23 ago when I started doing more pelvic floor
24 dysfunction that I would be doing these instead of
25 radical prostatectomies and nephrectomies, as a

00064
1 surgeon, you know, to treat the patients
2 conservatively goes against our training basically,
3 from way back when. And these patients had been the
4 most satisfying patients I have ever dealt with, and
5 now with the new Inter-Stim device, I have something
6 else I have to offer for those patients that do not

7 respond to the conservative treatments.

8 What I'd like to do is just mention a
9 couple things that haven't been mentioned. As far as
10 the test stimulation, it's probably at least six
11 months before my patients are even considered to be
12 an Inter-stim candidate. I mention it earlier if
13 they've been to multiple physicians, if they're
14 voiding like 30 times a day, or I doubt whether
15 medical management, conservative management is going
16 to work, at least I mention it to them to give them
17 hope, that something can be done if we don't get
18 resolution of their symptoms.

19 The test stimulation, they need to do a
20 diary ahead of time. The test stimulation, a lot of
21 time I'll be there an hour to an hour and a half,
22 trying to get the temporary lead placements into
23 maximum position. So it is time consuming and you
24 have to be patient. If they pass the test
25 stimulation, which two-thirds of my patients do pass

00065

1 it, which shows a 50 percent of improvement in their
2 symptoms, and these patients are so happy when they
3 come back to get their wires removed that you don't
4 even have to look at their diary, you know how happy
5 they are, and it's that dramatic.

6 For the permanent implant it does take
7 about an hour up to two hours to do the permanent
8 implant, and then the patients do go home and usually
9 in seven to ten days, we activate it. So these
10 patients, because they've been through so much, are
11 usually patient with the process of getting it in,
12 plus they've had their test stimulation so they know
13 how the permanent implant is going to work.

14 And I know some of the speakers talked
15 about the geriatric population, but a lot of these
16 patients because of back injuries, some because of
17 their bladder, are on disability or Medicare as
18 fairly young patients, so some of my patients are
19 even in their 20s and 30s on Medicare.

20 You have copies of the letters and I would
21 just like to go through a couple of them. The first
22 one is Phyllis, and Phyllis is a diabetic and has
23 urgency frequency but also was not emptying

24 completely, so her urine was like a sewer for four
25 years that I knew her. I couldn't get the infections

00066

1 cleared. I finally put her on intermittent cathing,
2 she went on insulin to help control her diabetes, we
3 diagnosed reflux so she had a bilateral
4 reimplantation; at the same time I tried to wrap her
5 bladder to make it empty better. It didn't work.
6 She couldn't do self cath herself, so her husband did
7 it twice a day to try to get her bladder empty. Even
8 though all this was done, she was on antibiotics, I
9 tried her on Volmax, Hytrin, Urecholine, everything
10 that I had to offer, her urine was still constantly
11 infected.

12 She had two test stimulations. The first
13 one didn't work, and so she was willing to try a
14 second one, and the second one we did under
15 fluoroscopy, and it was a matter of two millimeters,
16 of moving the wire to get a response or not get a
17 response, so she actually did see an improvement with
18 the second test stimulation. She has now been
19 implanted for five months, she is not cathing herself
20 anymore, her urine has been sterile now for four
21 months.

22 The next one is Donna. Donna always says
23 she's my problem child. I did a sling on her that
24 failed, I did a second sling, this time using bovine
25 graft, which she eroded, but everything was scarred

00067

1 in very nicely, but she still had incontinence. So I
2 did chonigen injections three times, and again, she
3 had significant urgency, frequency urge incontinence.
4 With the Inter-stim she is now dry. She can go
5 camping again without having to find a bathroom every
6 place or go behind a tree, and she has significant
7 improvement in her quality of life.

8 Sherry is a 40 year old who has chronic
9 fatigue syndrome, fibromyalgia, and kidney stones.
10 We couldn't have her drink much because she was
11 living in the bathroom, or she wouldn't drink
12 anything. Nothing we tried worked for here, and
13 again, she is a successful Inter-stim patient who now
14 has her life back.

15 Gina is another 40 year old on disability,
16 has multiple psych medications, and again, we tried
17 her on all medical management, physical therapy, and
18 despite that, she was going to the bathroom over 30
19 times a day. For years, she hadn't gotten any more
20 than an hour's worth of sleep at one time. And we
21 did her test stimulation, she came back in the office
22 a new person. She had actually slept seven hours in
23 a row, the first time in 20 years. And she's an
24 artist. She came back with drawings that she had
25 drawn 15 years ago, and it basically was really

00068

1 dramatic about how it demonstrated the pelvis and all
2 the pain and discomfort her pelvis and her bladder
3 were causing her.

4 She was my very first implant. She didn't
5 get the success she got with the test stimulation,
6 and she was willing to undergo another surgical
7 procedure to readjust the lead placement because she
8 knew what was possible. And now she is much better
9 off and in fact, she's riding a bicycle and just fell
10 off her bicycle.

11 Another patient was a back injury patient
12 who, my one goal in life was to come into the exam
13 room and see her sitting down. And when I first
14 mentioned the Inter-stim to her, she said no way, I
15 don't want a foreign device in my body. I said well,
16 just look at the videotape, and she saw the
17 videotape, I walked into the room, she was crying and
18 said when can I sign up.

19 She hadn't been able to sit through a
20 movie, her family was constantly giving her grief
21 about what she drank, didn't want to travel with her
22 because they had to stop so much, and with the
23 Inter-stim, her life has really changed around as
24 well. She can now sit through a movie without having
25 to go to the bathroom.

00069

1 So, this has dramatically increased my
2 practice. As far as patient satisfaction, I have
3 something to offer them that I never had before.
4 It's a breakthrough procedure and there really is
5 nothing that compares it to that has the outcome that

6 I found. Thank you.

7 MS. CONRAD: Thank you, Dr. Smith. Let's
8 do one more before we take a break. Kimberly Oleson.

9 DR. OLESON: Good morning. My name is
10 Kimberly Oleson and I am an employee of Medtronic.
11 Until July of this year I was the principal clinical
12 programs manager for the Medtronic functional
13 stimulation business. Currently I am the director of
14 clinical operations for Medtronic's E/T systems
15 business.

16 In collaboration with the global study
17 investigators, the design of an FDA regulated
18 multicenter trial began in 1992. The purpose of this
19 trial was to evaluate the safety and effectiveness of
20 sacral nerve stimulation therapy for the treatment of
21 specific voiding disorders. It gives me great
22 pleasure to provide with you with background
23 information on this study. It looks like I may be
24 missing a slide.

25 In terms of background, the genesis of

00070

1 sacral nerve stimulation therapy was born out of
2 early work by Schmidt, Tanagho and others at the
3 University of California San Francisco, in connection
4 with the NIH neuroprosthesis program. This group
5 explored the complex innervation of the sacral nerves
6 as they innervate the pelvic floor and the viscera,
7 including the bladder. They hypothesized that
8 stimulation of the sacral nerves would modulate
9 dysfunctional and organ behavior. They explored this
10 work in animal and cadaveric models, and trial
11 stimulation of the sacral nerves in human feasibility
12 studies was accomplished via percutaneous access
13 through foramen or existing holes located in the
14 sacrum to access the sacral nerves.

15 In all cases when we talk about sacral
16 nerve stimulation, it's important to note that we
17 mean that this is transforaminal sacral nerve
18 stimulation therapy. Success with trial stimulation
19 and early feasibility studies in humans resulted into
20 the development and the need for more long-term
21 therapy. Therefore, implantable systems were
22 developed.

23 Today the Inter-stim system, as seen on
24 the screen, is comprised of a lead, a
25 neurostimulator, and an extension that connects those
00071

1 two devices. This is the same technology that has
2 been commercially available in the United States
3 since the mid 1980s for the indication of spinal cord
4 stimulation to treat trunk and limb pain.

5 In this presentation, my task is
6 threefold. First, I will present what is sacral
7 nerve stimulation; secondly, provide key definitions
8 used in the clinical study; and third, review the
9 clinical study design. This presentation is intended
10 to set the stage for Dr. Steven Siegel as he presents
11 results from clinical study, and for Dr. Thomas
12 Benson as he defines more clinical applications of
13 sacral nerve stimulation therapy.

14 Medtronic had sponsored a multi-center
15 randomized trial in December of 1993. This trial
16 involved 22 global investigative sites and the
17 purpose of this study was to evaluate safety and
18 effectiveness of SNS therapy for the indications of
19 urge incontinence, urinary urgency frequency, and
20 nonobstructive retention. As defined in the study
21 protocol, urge incontinence is defined as an
22 involuntary loss of urine associated with the strong
23 urge or desire to void. Urgency frequency is defined
24 in the study as an uncontrollable urge to urinate,
25 resulting in very frequent and small volume voids.

00072
1 And nonobstructive retention is comprised of partial
2 retention or complete retention. And in all these
3 cases, mechanical obstructions have been ruled out
4 before entry into the trial.

5 SNS therapy is delivered in two different
6 stages. The first is test stimulation, and the
7 second is surgical implantation.

8 Test stimulation is a procedure that is
9 intended to evaluate SNS therapy on a trial basis in
10 patients before they are considered for surgical
11 implantation. In this procedure, a needle, a foramen
12 needle is used to percutaneously access the sacral
13 nerves, to provide acute stimulation in the

14 physician's office under local anesthetic. Once the
15 stimulation location is identified, acute stimulation
16 is applied to the subject, and the physician learns
17 how to optimize location by looking for very specific
18 motor and sensory responses to acute stimulation.
19 Once these locations are you identified, a test
20 stimulation lead is passed through the cannula of the
21 needle, percutaneously placed, and the patient is
22 actually sent home for a trial period of three to
23 seven days.

24 During this time patients will fill out in
25 the baseline and test period entries in a diary in an

00073

1 effort to quantify the effects of stimulation on
2 their voiding pattern. The data collected at
3 baseline and during the test stimulation period,
4 consistent with standard urologic research, only
5 patients with a 50 percent or greater improvement as
6 documented in order to consider a subject for a
7 long-term therapy or surgical implantation.

8 And as advocated by the medical community
9 and the AHCPR guideline, voiding diaries comprise the
10 primary outcome parameter in this particular study.
11 For each of the three indications, we selected key
12 parameters relevant for that condition in order to
13 determine success or efficacy of the therapy. For
14 example, for urge incontinence, we look at the number
15 of leaking episodes per day, the severity ranking of
16 those leaks, and those are ranked by patients as
17 mild, which means drops or urine; moderate, which
18 means one to two tablespoons of urine leaked; and a
19 severe leak or heavy leaking, which is defined as
20 soaking the pad, diaper or patient's outer garments.
21 And finally, we recorded the absorbent and pad diaper
22 usage because of leaking episodes in this study.

23 For the indication of urgency frequency,
24 we looked at frequency of voids, volumes voided, and
25 the perceived degree of urgency prior to voiding.

00074

1 And finally, for retention we looked at
2 catheter volumes in this study.

3 Study enrollment was based on very
4 specific inclusion an exclusion criteria in this

5 trial. It is important to note that in this study,
6 as noted in the inclusion side, patients must have
7 demonstrated failure of conservative therapy or
8 conservative therapy was deemed medically
9 inappropriate for that patient before entry. And
10 although the literature may suggest that SNS therapy
11 may be beneficial for other subpopulations or
12 indications, we purposely excluded neurogenic
13 conditions, primary pelvic pain and primary stress
14 incontinence in order to minimize the potential for
15 confounding factors for this particular study.

16 And here's how the clinical study design
17 worked. Within each of the three indications that we
18 studied, all patients underwent test stimulation. A
19 positive response to test stimulation, meaning a 50
20 percent or greater reduction in their primary
21 symptoms resulted in randomization in the study to
22 one of two treatment arms. In the first arm,
23 control, the control group patients did not receive
24 SNS therapy; they were allowed to continue standard
25 medical care for a period of six months. The

00075

1 standard of care included treatment such as
2 pharmacologics, biofeedback, et cetera. At the end
3 of the six-month waiting period without stimulation,
4 if appropriate, they were allowed to cross over to
5 the treatment arm of the study.

6 In the treatment arm of this study,
7 subjects were immediately implanted with the SNS
8 system and were followed then post-implant through a
9 period of six months. After the six-month implant
10 visit, subjects returned to the clinician's office
11 and underwent as part of the patient consent what's
12 known as a therapy evaluation test, in that the
13 investigator deactivated the stimulator and over a
14 period of several days documented the voiding diaries
15 that patients filled out to see what happened to
16 their behavior with stimulation off. After
17 returning, if they wished, they may have the device
18 reactivated, and they're followed every six months
19 until the study was terminated.

20 In this particular design, this randomized
21 design, efficacy was evaluated at three points: Six

22 months, treatment versus control stim on versus no
23 stimulation; at therapy evaluation, stim on versus
24 stim off; and then of course on chronic follow-up,
25 stim on long term versus no stimulation at baseline.

00076

1 Safety was prospectively documented
2 throughout the follow-up period. Now, the
3 investigators were successful in designing a study
4 protocol that was randomized that could document the
5 effects of SNS therapy, however, long debated the
6 issue of incorporating a placebo control. The
7 investigators, the FDA, Medtronic agreed that a sham
8 implant was not merited in this highly refractory
9 population. And more importantly, because patients
10 during test simulation become very attuned to the
11 sensations of stimulation, which involves sensations
12 of pulling in the rectum, of tingling or vibration in
13 the perineal or genital region, it logically follows
14 that in an implant setting, these feelings are nearly
15 impossible to mask. Therefore, alternative study
16 designs such as randomizing to on-off, or suboptimal
17 versus optimal, were reviewed but rejected by the
18 study investigators.

19 We received FDA clearance for three
20 different indications, but these indications followed
21 the same protocol, used the same devices, the same
22 outcome measurements. And because of rapid
23 enrollment, an FDA expedited review of Medtronic's
24 PMA application, Medtronic received clearance in
25 September of '97 for the indication of urge

00077

1 incontinence. Shortly thereafter, in April of '99,
2 the additional indications of urge frequency and
3 retention also received FDA clearance.

4 And to characterize the chronic safety and
5 effectiveness of SNS therapy, Medtronic continues to
6 sponsor an ongoing five-year post-approval study, and
7 those results are still being collected. I am
8 available for questions and I thank you for your
9 attention.

10 MS. CONRAD: Thank you, Dr. Oleson. I
11 have been asked to continue with the public
12 presentations and skip the break; just leave the room

13 as you wish to. This will move the HCFA and Blue
14 Cross presentation back just a little but, but I
15 think the panel meeting will flow smoothly. I also
16 wish to tell you that we are going to have a working
17 lunch, in that the panelists will be leaving around
18 noontime, getting their lunch and bringing it back
19 here. They will reconvene at 12:30, not one o'clock.
20 At 12:30 we will start with the additional public
21 presentations, if there are any, and then open panel
22 deliberations. Okay.

23 Having said that, Dr. Steven Siegel,
24 followed by Dr. Thomas Benson.

25 DR. SIEGEL: Hello, panel members, and

00078

1 thank you for the opportunity to present this
2 information to you. My name is Dr. Steve Siegel, and
3 I am a practicing urologist from St. Paul, Minnesota.
4 And I have been a paid investigator by Medtronic, I'm
5 a proctor, I provide educational courses for them,
6 and my travel to this meeting has been paid for by
7 Medtronic.

8 My interest in sacral nerve stimulation
9 for voiding complaints developed from my areas of
10 subspecialization in female urology and neurourology.
11 This form of treatment has made a huge difference in
12 the quality of life of my patients, and you have
13 heard this again and again from the people that have
14 spoken ahead of me. These are patients who otherwise
15 would have had no satisfactory alternatives, and
16 that's why I've been involved now for over 12 years
17 in all aspects of this therapy, including
18 participation in multi-center clinical trials in the
19 1980s, before Medtronic became involved with the
20 therapy.

21 I helped to convince Medtronic to sponsor
22 further trials, I participated in those trials, and I
23 presented the clinical data to help gain FDA approval
24 for this therapy in 1997. Since 1997, I have
25 dedicated much of my personal and professional time

00079

1 to teaching and training my urologic and
2 urogynecologic colleagues about SNS in order to help
3 them provide the treatment for their patients. It's

4 been a great pleasure for me to sit here and listen
5 to all the physicians who I either had an opportunity
6 to train in formal didactic sessions, or in the
7 majority, to participate hands on in one or two of
8 the initial phases of their first patients.

9 I see this meeting as another opportunity
10 to document the effectiveness of the therapy for my
11 patients. My presentation today will provide
12 information in five areas, the results of the
13 clinical study, the safety, the impact on quality of
14 life, the long-term results, and the results of a 65
15 and older patient survey for patient satisfaction. I
16 have a lot of information to cover, so please bear
17 with me if I speed along through it.

18 The study enrolled 581 patients for all
19 three indications combined. The age range was very
20 wide, averaging 43 years. The demographics basically
21 reflect that which is seen in our clinical practice.
22 And it's amazing to note that the average duration of
23 symptoms of these patients was eight years. Out of
24 the 581 patients, 260 experienced at least a 50
25 percent improvement in one of the primary voiding

00080

1 measures during the test stimulation, and as Kim
2 showed you, were randomized into the trial. In
3 total, 219 patients were ultimately implanted with
4 the neurostimulation system at the time of database
5 analysis.

6 It's important to note that the patients
7 in this study were extensively treated for their
8 voiding dysfunction, and almost a hundred percent had
9 some previous form of intervention. The vast
10 majority had tried and failed multiple drug regimens.
11 About half had some nonsurgical treatment such as
12 biofeedback and as you see, the frequency of this
13 treatment went as high as 147 individual treatment
14 episodes for a single patient. Almost 60 percent had
15 some surgical intervention that ranged from a low of
16 one to a high of 41 procedures for one patient.

17 So it's accurate to say this population
18 was refractory to traditional treatment approaches,
19 and had no other treatment alternative other than
20 nonreversible surgery.

21 Let's talk about the results for urge
22 incontinence. As indicated, there were 184 patients.
23 At baseline these patients had an average of 8.9
24 leaks per day and 2.7 heavy leaks, and those were
25 defined as saturating pads or diapers, or their

00081
1 clothing. They used an average of 4.8 pads or
2 diapers per day, and they had a symptom duration of
3 over nine years.

4 This is the data that compares those
5 patients randomized to the control group for a delay
6 of six months to those with an implanted sacral nerve
7 stimulation system for six months. In all cases, the
8 control group is in the darker color and the implant
9 or treatment group is in the lighter color. As Kim
10 described, the primary measures were the number of
11 leaking episodes, the severity of the leaking and use
12 of pads. As you will see for all the measures,
13 sacral nerve stimulation produced statistically
14 significant changes compared to control.

15 For the implant group, 47 percent were
16 dry, and another 29 percent had at least a 50 percent
17 improvement in their leaking. So in total, 76
18 percent were considered clinically successful, while
19 74 percent of the control group had no reduction in
20 their leaks.

21 As you recall from our definition of heavy
22 leaking, which was soaked pads or diapers or
23 undergarments. For heavy leaking, 92 percent of the
24 treatment group were considered clinical success,
25 while the control group witnessed few reductions.

00082
1 The implant group showed a statistically significant
2 improvement in the number of leaks and number of pads
3 compared to the control group as well.

4 Just like the preceding slides, the
5 implant group shows statistically significant
6 improvements. Here, 50 percent of the implant group
7 eliminated the need for absorbent pads, and an
8 additional 37 percent had at least a 50 percent
9 reduction in pad usage. And as you can see, there is
10 no corresponding change in the control group.

11 The second population study was the

12 urgency frequency group, of whom there were 220
13 patients. Their average number of voids per day were
14 about 13, and they had about 160 cc per void average
15 voiding volume. Their degree of urgency was a 2 on a
16 scale of 1, which was least severe, to 3, which was
17 most severe. And they had an average symptom
18 duration of about eight years.

19 Just like the previous data, the urgency
20 frequency implant group data is very positive and
21 goes in the same direction compared to the control.
22 For the number of voids per day, 56 percent of the
23 implant group experienced a significant reduction in
24 the number of voids. 64 percent of the implant group
25 experienced a significant increase in the average

00083

1 volume per void. The implant group was also
2 clinically successful, with 52 percent experiencing
3 lower urgency and higher volumes, and 36 percent
4 experiencing the same urgency but at higher volumes.
5 Obviously for these patients, the optimal outcome is
6 to have a lower degree of urgency and a higher voided
7 volume.

8 For the retention group, there were 177
9 patients who had nonobstructive retention. These
10 patients were basically dependent on a catheter in
11 order to empty their bladder, and they averaged about
12 335 cc's per catheterization, and they catheterized
13 almost five times per day, and they had a symptom
14 duration of about seven years.

15 As in the preceding populations, the
16 implant group experienced statistically significant
17 changes. 69 percent of the retention group no longer
18 needed to use catheters. An additional 14 percent
19 experienced a significant reduction in the catheter
20 volume per catheterization and again, you can see
21 virtually no change in the control group. With the
22 sacral nerve stimulation therapy, retention patients
23 voided significantly more and correspondingly,
24 catheterized less.

25 To document the efficacy of the

00084

1 stimulation on versus off and further document the
2 effectiveness of SNS on voiding function, a therapy

3 evaluation test was conducted at six months
4 post-implant. The stimulation was temporarily turned
5 off for three to seven days, and voiding diaries were
6 again collected to compare the effects of the
7 therapy. Results during the therapy evaluation test
8 demonstrated a return towards baseline symptoms for
9 all three groups when the stimulation was turned off.
10 In all three groups, these changes were statistically
11 and clinically significant and were similar to
12 symptoms exhibited at baseline. This clearly
13 indicates that the reduction of urinary symptoms
14 observed with stimulation turned on is attributable
15 to the therapy itself and the therapy is clearly
16 reversible.

17 Here are the results for the urge
18 incontinent group, where you can see that at
19 baseline, they voided almost 11, had 11 episodes of
20 incontinence per day, versus 2.9 with stimulation on.
21 And then with it off, went back up towards the
22 baseline. The results for the urgency frequency
23 group shows the number of voids at baseline of 16,
24 down to less than nine, and then pack towards
25 baseline with stimulation turned off. And lastly,

00085

1 retention, volumes per catheterization decreased
2 markedly with stimulation on, and increased toward
3 baseline with stimulation turned off.

4 Next, I want to talk about the safety
5 data. Safety results were based on a combination of
6 information from all three study groups, including
7 urge incontinence, urgency frequency, and retention.
8 This was permitted as the identical devices and
9 protocols were used for all three groups. For the
10 test stimulation procedure, there were 181 adverse
11 events out of the 914 test stimulation procedures.
12 The most common event was migration of the lead,
13 resulting in loss of stimulation during the test
14 period. This frequently resulted in a repeat of the
15 procedure so that a solid determination could be made
16 about any change in symptoms from stimulation.

17 Since the study, the test lead has been
18 redesigned to a coil design, which is intended to
19 minimize the potential for lead migration. There

20 were no long-term clinical sequelae from any of the
21 events, and all adverse events were resolved with no
22 permanent injury to nerves.

23 Of our 219 implanted patients, 52 percent
24 experienced an adverse event, which ranged from pain
25 at the site of the neurostimulator, infection or skin

00086
1 problems, to minor concerns such as skin irritation.
2 91 percent of the events were resolved at the time of
3 original study database closure. It's important to
4 note that no event resulted in a permanent nerve
5 injury.

6 A little more than half of the adverse
7 events required some surgical intervention. This
8 included repositioning of the neurostimulator due to
9 pain. It's now most often implanted in the upper
10 buttock instead of the lower abdomen in order to
11 reduce this risk, and also, revisits included
12 repositioning of the lead due to migration. The lead
13 was redesigned to permanently attach the anchor to
14 the lead body, which is intended to reduce lead
15 migration. I will discuss a little bit more about
16 that in a moment.

17 Next I want to emphasize the quality of
18 life data. We used the SF-36 Health Outcomes Survey,
19 which as you know, is a validated measurement tool
20 for collection of quality of life information. The
21 following three charts compare the implant group
22 which is in blue, with the control group in red, and
23 US normative data is on the top in light green. For
24 each of the eight scores, the range is between 0 and
25 100, and as you can see from the normative data, even

00087
1 a healthy person doesn't rate everything at a hundred
2 percent.

3 For urge incontinent patients there were
4 significant improvements reported in several of the
5 categories. You can note the differences between the
6 implant group and control group that were
7 statistically significant in both physical
8 functioning, general health and vitality.

9 The most dramatic changes were seen in the
10 urgency frequency patients, and they had significant

11 improvements in many of the categories. These
12 patients showed scores that were significantly higher
13 than the control group on seven of the eight
14 variables. For all three populations studied, this
15 was clearly the group that was most negatively
16 impacted by the baseline symptoms and most
17 dramatically improved with sacral nerve stimulation.

18 For retention patients, there were
19 statistically significant differences seen in the
20 scores for bodily pain.

21 Overall, the clinical study showed that
22 sacral nerve stimulation provided to a refractory
23 group of patients resulted in a statistically
24 significant improvement in primary voiding measures.
25 And these improvements were also accompanied by

00088

1 significant improvements in the various domains of
2 the SF-36 outcome survey.

3 While I mentioned device improvements
4 during the adverse events information, I want to
5 recount the specific device advancements that have
6 been made as a result of the clinical study.
7 Difficulty with migration of the test lead during the
8 test stimulation period led to development of a
9 coiled wire design for the lead. The intention of
10 the design is that it uncoils to stretch before
11 displacing. The new test stimulation lead design
12 uses a nondiscrete electrode, which eliminates the
13 possibility of separation by advancing the foramen
14 needle over the lead after it's been inserted.
15 Additionally, adverse events experienced led to the
16 development of a change in the implant lead.
17 Originally, the anchor used was separate from the
18 implant lead, and now we use a preattached fixation
19 point to avoid snaking of the lead or lead migration.

20 Next, I want to show you the long-term
21 results from all three study populations.
22 Consistently, there were sustained clinical results
23 for urge incontinence. These are the percentage of
24 patients who have a greater than 50 percent reduction
25 in leaks per day as you can see now, out to 48

00089

1 months. For urgency frequency, over half the

2 patients have a 50 percent or greater increase in the
3 volume voided per void now followed out to 36 months.
4 And for the retention patients, more than 70 percent
5 of the population have eliminated catheterizations or
6 are experiencing a 50 percent or greater reduction in
7 the residual catheterized volume, now out to 36
8 months.

9 By way of summarizing the study, sacral
10 nerve stimulation is providing sustained efficacy for
11 all indications in populations of patients who were
12 refractory to all other treatment. Sacral nerve
13 stimulation is safe, it's reversible, and it doesn't
14 preclude alternative treatment.

15 I know that the panel will want to focus
16 on how this therapy works for patients over 65 years
17 of age. To augment the clinical study and long-term
18 data we just reviewed, a survey of patients 65 and
19 over was undertaken. 140 patients in Medtronic's
20 device registry over 65 years were sent a survey
21 about their experiences with SNS, and 68 provided
22 responses, and here's what was learned. The median
23 age of the respondents was 73, and over 90 percent
24 reported that they had urgency frequency or urge
25 incontinence as the reason for the SNS implant. Like

00090

1 patients in the clinical study, the responders had
2 experienced voiding dysfunction symptoms for a median
3 of eight years. Nearly 100 percent indicated that
4 their physician recommended over treatments prior to
5 SNS implant, and about 60 percent had some type of
6 surgery for their bladder problem. They indicate the
7 following. 93 percent are using the implanted
8 system. 75 percent are satisfied with the results.
9 The median improvement in symptoms was 70 percent.
10 87 percent would recommend the therapy to others.
11 And 84 percent would repeat the surgery. Overall,
12 two-thirds of them are using the system, are
13 satisfied, would recommend it to others, and would
14 repeat the surgery. Clearly, there are substantial
15 results and satisfaction among Medicare aged patients
16 regarding sacral nerve stimulation.

17 In conclusion, I would like to point out
18 that this is a very clinical presentation of a

19 scientific study that I think shows that there were
20 dramatic and positive results in the management of
21 these patients' refractory clinical syndromes and
22 that impact their quality of life greatly. You've
23 heard many of the physician presenters who are
24 motivated to come here on their own behalf, speak of
25 specific clinical instances from their own practices,

00091

1 which are very compelling, and that's what I would
2 like to point out to you, that each one of these data
3 points discussed today represent an individual who
4 has had their great suffering alleviated dramatically
5 by this therapy. And I appreciate very much the
6 opportunity to bring this to your attention in the
7 hopes that it will become available for patients in
8 the Medicare age population. Thank you.

9 MS. CONRAD: Thank you, Dr. Siegel.

10 DR. MAVES: Dr. Siegel, this is a very
11 well done study. Can you help me with some numbers,
12 because I'm having a little trouble following some of
13 the patient numbers, and just sort of help me with
14 this.

15 DR. SIEGEL: Sure.

16 DR. MAVES: You start out saying you have
17 581 patients total involved in the study, of which
18 219 received implants. But then when we go back
19 through, for instance when you look at the urge
20 incontinence, for instance, I think it's hard to sort
21 of say that the number of implants that you were
22 looking at when you said there's a 76 percent
23 clinical success, there's only 34. And similarly,
24 when you go back through the other categories,
25 retention, I think there was 29 implanted, and for

00092

1 the urge frequency, 25 implanted. So the numbers
2 sort of deteriorate.

3 And then when we get back to looking at
4 some of the other factors in the end, such as the
5 long-term results of urge, urge frequency and
6 retention, the numbers seem to go back up. Explain
7 to me sort of the rationale and how to follow that,
8 because you sort of start out with a big N and you go
9 gee, you've got some real power here. It seems to go

10 down when you're looking at the categories and then
11 reappears.

12 DR. SIEGEL: That's an accurate
13 observation, and basically it has to do with the
14 design of the study. We had the large number of 500
15 some odd patients to begin with. Those were all the
16 patients who underwent a test stimulation. Of that
17 group of patients, roughly 50 percent, or 260,
18 actually had at least a 50 percent improvement in one
19 of the key symptom variables for whatever category
20 they were being enrolled in the study in, so that's
21 where that half of the patients went.

22 Now, in the study design where there is a
23 control arm and an immediate implant arm, in each
24 individual category, the total pool of patients that
25 were going into the urgency frequency group were

00093

1 split in half again, and so you're looking at the
2 half of the patients that were implanted versus the
3 half of the patients that served as control. So
4 there again is where that N decreases.

5 Now, in the longer term study arms, what's
6 happening is that some of those patients that were in
7 the control arm, actually virtually all of those
8 patients were then given the option to go on to
9 implantation, so they matriculated into the
10 implantation arm and you're seeing those patients
11 again in terms of the long-term study.

12 DR. OLECK: I have I guess a follow-up
13 question on that, and I have a couple of other
14 questions. Beyond what you describe here though,
15 when I was looking, and some of these numbers come
16 from the TEC assessment, I guess they had looked at
17 more things that were just in the articles there. It
18 looked like there were a number of case, for example
19 in the urge incontinence, I think they had said there
20 were 98 patients that were randomized and yet, the
21 report was only on 76 of them. In the urge frequency
22 study, there were 80 eligible and the report was only
23 on 51 of them.

24 In going through the report, well,
25 primarily the TEC assessment, it looks like, first of

00094

1 all, there were some people who were randomized, a
2 good number of people who were randomized to the
3 implant group who didn't have the implant. There
4 were also a number of people who said well, they
5 didn't have data at six month because the study was
6 closed out before they reached that six months. I
7 mean, that is really surprising to me that in a study
8 which is supposed to define the usefulness of this,
9 that the study was closed out before the six-month
10 variable or the six-month end point for such a large
11 number of people. Can you explain that?

12 DR. SIEGEL: That is what happened, in the
13 sense that when this data was presented to the FDA in
14 1997 and that data was used as a basis for some of
15 the initial publications for the efficacy in urge
16 incontinence, not all the patients had been implanted
17 and followed out to six months, and therefore, they
18 were not included in that database analysis, so
19 that's what that statement meant.

20 And as far as other patients that were
21 randomized to the implant phase that did not go on to
22 implant, I am not aware of what the specific
23 percentage of patients that represented, but my
24 honest impression is that it was a very minute
25 percentage and indeed as I emphasized, virtually all

00095
1 of the patients who were randomized to the control
2 arm ultimately went on to be implanted.

3 DR. OLECK: I guess the other question I
4 had concerns the exclusion for the neurological
5 patient, in terms of why those were excluded, whether
6 there was some idea those people would respond
7 differently to that. Apparently there wasn't any
8 formal mention made of that exclusion in the FDA
9 approval. Does that mean this shouldn't be used in
10 those patients, or can you explain that.

11 DR. SIEGEL: Well, that has to do with the
12 strategy of the study to gain FDA approval. In other
13 words, we want to pick cherries and show that we can
14 bake a cherry pie. And so what we wanted to do is
15 pick the most clear-cut individuals that would have
16 the greatest chance of success. That doesn't mean
17 that individuals who have an underlying neurological

18 disorder might not improve, but say for example
19 patients with M.S., which is a disease that the
20 symptoms may wax and wane, if we implant the patient
21 who had M.S. And then the therapy became less
22 effective for that patient, does that represent a
23 primary failure of the therapy or does it represent
24 the fact that the target disorder is changing. And
25 we didn't want to have to answer those questions in

00096

1 order to gain the FDA approval.

2 At this point, there is good clinical
3 expectation that those patients would improve and
4 they should be the subject of further study to
5 document the effectiveness in specific patient
6 populations, like partial spinal cord injury, or
7 M.S., Parkinson's, et cetera.

8 DR. OLECK: It seems if we're talking
9 about neruomodulation to people who have neurological
10 diseases, if it doesn't apply to them, that would
11 seem to be a significant group to raise a number of
12 questions about whether it would or it wouldn't be
13 effective or as effective in that group of people.

14 DR. SIEGEL: What I would say again as an
15 answer to that question is that I believe personally
16 that this therapy would help a significant proportion
17 of those patients. And as a scientist, I believe
18 that it needs to be demonstrated with well designed
19 clinical studies that those patients are impacted
20 with the therapy.

21 DR. GARBER: Ken?

22 DR. BRIN: I wonder if we could focus for
23 a minute on the Medicare population. How many of the
24 patients in the original study were of age 65 or
25 older, have you done a subgroup analysis, did these

00097

1 patients tend to be the ones who had greater
2 complication rates, were the success rates identical,
3 worse, better, can you share with us some of that
4 data?

5 DR. SIEGEL: I think I can share with you
6 that data. We had -- I believe there were eight
7 patients?

8 DR. GARBER: Yeah. Miss Oleson, you can

9 respond if you'd like.

10 MS. OLESON: There were nine subjects who
11 had 12-month follow-up in the clinical study who were
12 age 65 years and older. And I believe at the most
13 recent administrative closure, we have about 50
14 percent of those patients demonstrating a 50 percent
15 or greater improvement in their symptoms, so it
16 appears to be consistent. If you look at other
17 prognostic factors, just by looking at age
18 categories, we found that age is not a prognostic
19 factor in terms of potential for success, so we have
20 concluded from looking at that factor as well as
21 others, including potential for revision surgery,
22 duration of symptoms, number of test stimulations,
23 et cetera, that basically test stimulation appears to
24 be the one factor that helps to select patients which
25 are more amenable to surgery.

00098

1 DR. GARBER: Well, maybe I could ask Ken's
2 question in a slightly different way. Two of your
3 slides, Dr. Siegel, were about the safety data, the
4 test stimulation based on implantation. There were
5 914 patients in the test stimulation phase and you
6 didn't give the number for implantation, but
7 presumably this is larger than the clinical trial
8 because there were more people in the implantation
9 test.

10 DR. SIEGEL: No, I didn't mean to
11 represent it in that way. There were 914 test
12 simulations performed on the 500 patients.

13 DR. GARBER: On the same sample.

14 DR. SIEGEL: Right. So it means that some
15 of the patients had two test stimulations.

16 DR. GARBER: Do you happen to have the
17 data that appear in the (inaudible) follow-up
18 implantation stratified by age. The subsequent table
19 is the one that said 15.3 percent had pain at
20 neurostimulator site, 9 percent in pain, et cetera.

21 MS. OLESON: I'm trying to understand.

22 DR. GARBER: Divided by age above or below
23 65, for example.

24 MS. OLESON: We have looked at as a cutoff
25 age of 59 because we had so few patients who were 65

00099

1 and older.

2 DR. GARBER: That's fine.

3 MS. OLESON: And are you looking at the
4 potential for efficacy?

5 DR. GARBER: No, this is only safety data,
6 so it's the adverse effects associated with the
7 implantation. I'm just curious if the rates differed
8 in any systematic way.

9 MS. OLESON: No, they did not.

10 DR. GARBER: Okay, thank you.

11 DR. OLECK: And commenting further on the
12 age thing, I guess, we've heard a lot about how this
13 does seem to be a problem affecting, urinary
14 incontinence affecting the Medicare age population.
15 I guess I'm just surprised that, why the study
16 population was then so heavily weighted or was more
17 heavily weighted to a younger population rather than
18 to the Medicare age population.

19 DR. SIEGEL: Well, this is a classic
20 catch-22 in the sense that we were expected in
21 performing this clinical study to obtain insurance
22 reimbursement for the patients that participated in
23 the study, and patients that were 65 years of age or
24 older were not allowed to participate in a clinical
25 experiment. So for that issue, we didn't enroll

00100

1 those patients and that was basically the reason.

2 DR. GARBER: Ken.

3 DR. BRIN: Just a question with regard to
4 learning curve and complication rates. Have you
5 analyzed your study data to take a look at whether
6 complication rates decrease substantially with number
7 of procedures by the surgeon performing this, or is
8 it randomly distributed?

9 MS. OLESON: The revision rates were
10 equally distributed amongst investigative sites. We
11 also looked at the early implants versus the later
12 implants, and there was no statistical difference
13 observed.

14 DR. SIEGEL: I can just say from my own
15 clinical experience now with over 12 years of this
16 therapy, and witnessing many of my colleagues getting

17 started with the therapy, that there is a substantial
18 learning curve and that both issues of patient
19 selection and the risk of complications associated
20 decrease with the experience of the physician.

21 MS. CONRAD: Thank you, Dr. Siegel.
22 Dr. Benson, please, followed by Martha Goldberg
23 Aronson.

24 DR. BENSON: Good morning. My name is J.
25 Thomas Benson. I'm a urogynecologist in

00101

1 Indianapolis, Indiana. I'm at the University of
2 Indiana and I direct a urogynecology fellowship. The
3 fellowship is actually in female pelvic medicine and
4 reconstructive surgery. This fellowship is three
5 years in duration and it's accredited by the American
6 Board of Obstetrics and Gynecology, and by the
7 American Board of Urology, I think probably the first
8 time a fellowship has had double board accreditation.
9 It's open to graduates of either OB/Gyn residencies
10 or urology residencies, and at the end of their
11 four-year residency or five-year residency, they come
12 and spend three more years in fellowship. So it's a
13 lot of training. So our patient population are women
14 with pelvic floor disorders. It's tertiary in that
15 almost all of our patient have failed surgeries
16 elsewhere and end up coming to us for care.

17 In this overview I would like to tell you
18 how we select patients for sacral nerve stimulation
19 therapy, describe three representative cases from our
20 practice, and discuss what we can learn from these
21 cases.

22 First off, when a patient comes to us with
23 this problem, even though it's tertiary, we will
24 still begin with the less interventional techniques.
25 Diagnosis is established first to determine if the

00102

1 patient has stress incontinence, urge incontinence,
2 or a combination or some other disorder. Then
3 behavior modification is employed, behavior
4 modification including diary, examinations, examining
5 what they take in, fluid intakes, et cetera,
6 modifying caffeine intake, smoking, so forth. Then
7 pelvic floor rehabilitation is carried out with

8 either biofeedback or functional electrical
9 stimulation, and most of that care is performed by
10 physical therapists that work with us in our group.
11 Then the patients most often will go through
12 pharmaceutical management if they have not had
13 improvement with the behavior modification and pelvic
14 floor rehabilitation efforts. And then
15 pharmaceutical managements lead to a fair degree of
16 success.

17 The ones that have failed all these then
18 are candidates for sacral nerve stimulation testing.
19 That is our algorithm for getting to these patients.
20 Otherwise, these patients who have failed all these
21 other therapies would be thinking of a very invasive
22 surgery such as bladder augmentation.

23 Three examples of our patients: Patient
24 HS, this person is a personal physical trainer.
25 She's from Germany, very proud of her physique, she's

00103
1 41 years old, but she has severe disorder.
2 Interstitial cystitis was her diagnosis, and she had
3 had two bladder augmentation surgeries, trying to
4 increase this. She had had several hospitalizations
5 for bladder hyperdistention prior to the bladder
6 augmentation surgeries. Because of the bladder
7 augmentation surgeries, the detrusor muscle was
8 removed, and so she was unable to void on her own,
9 and so she had to self catheterize. She self
10 catheterized 30 times a day, seven to eight times t
11 night; she had never slept more than 45 minutes at
12 this time.

13 She was so severely depressed by this, she
14 could not work, could not do an activities, and
15 seriously was contemplating suicide, was under
16 psychiatric management for this. She learned about
17 sacral nerve stimulation on the Internet and obtained
18 a referral, and she had a dramatic response to the
19 test stimulation. She went to seven voids per day,
20 seven catheterizations per day. She had no nocturnal
21 episodes of having to get up to catheterize. She of
22 course cannot empty her bladder because she doesn't
23 have a detrusor, but now she has a normal life with
24 seven to nine self catheterizations per day.

25 Next patient, SH is a 28 year old female

00104

1 patient who had inability to urinate. She had
2 nonobstructive urinary retention. She also had a
3 severe constipation disorder that in this young 28
4 year old led to a colostomy. She would self
5 catheterize for urinary retention beginning when she
6 was 16 years old, had never voided on her own since
7 that time. With her test stimulation results she was
8 able to urinate voluntarily. We implanted her over
9 two years ago and she has never self catheterized
10 since that time. She even had the colostomy taken
11 down.

12 The next patient is RE, which is sort of
13 typical of the group over 65; a very frequent
14 condition in people over 65 is a condition called
15 DHIC, detrusor hyperreflexia with inadequate
16 contractility. So these poor unfortunate ladies
17 cannot empty their bladder well and yet it's
18 constantly emptying on its own when they don't want
19 it to. So they have both ends of the problem. This
20 particular patient had a combination of the retention
21 urge incontinence, and she'd had four surgeries for
22 incontinence at various points in her life and had
23 failed medical management. Her diary showed 14 voids
24 per day, four self catheterizations, three to four
25 heavy leaks requiring her to wear diapers.

00105

1 With her test stimulation results, she
2 went down to one leaking episode per day, did not
3 have to self catheterize, and had a frequency of nine
4 to ten voids per day. At 12 months post-implant, she
5 has no accidents, does not have to self catheterize,
6 and has nine voids per day.

7 We can learn a lot from these cases. We
8 can even start getting an idea, and are doing a lot
9 of investigational work trying to figure out why this
10 therapy works so well. We still don't know the exact
11 answers, but we do know that it has a lot to do with
12 the reflex pathways in the pelvic floor, it has an
13 awful lot to do with the afferent pathway, not just
14 the motor pathway. And several studies are showing
15 this and coming together to show how it changes

16 sensory thresholds, showing how it works better in
17 people that have intact pelvic floor reflexes,
18 et cetera.

19 The bottom line though for physicians and
20 for the patients, is what a difference this makes in
21 their lives. You have heard that over and over this
22 morning and I would add to that, I have been doing
23 this kind of work now almost 30 yours and I would
24 have to say this is probably the single most
25 gratifying therapy that I have been able to have to

00106

1 use for my patients, because this group is so
2 difficult to treat otherwise. Thank you.

3 MS. CONRAD: Thank you, Dr. Benson. Okay,
4 finally, Martha Goldberg Aronson.

5 MS. ARONSON: My name is Martha Goldberg
6 Aronson and I am the general manager of Medtronic
7 functional stimulation. I want to very briefly
8 review several important topics, including physician
9 training, evaluation and adoption of sacral nerve
10 stimulation.

11 As you have already heard this morning, as
12 part of the FDA approval, Medtronic is required to
13 thoroughly train physicians in the use of SNS. The
14 approval requires that SNS be prescribed only by
15 physicians experienced in the diagnosis and treatment
16 of lower urinary tract symptoms, or urologists and
17 urogynecologists. Medtronic trains these physicians
18 through a didactic one and a half day classroom
19 training course which includes cadaver work, and that
20 is then followed by the proctorship process, whereby
21 a proctor stands next to the physician for their
22 first two test stimulation procedures and then again
23 is proctored for the first two implant procedures.
24 And this is done, performed by a physician who is
25 experienced in utilizing sacral nerve stimulation.

00107

1 Additionally, we have on-site training
2 centers available if a physician requires or requests
3 additional training on the therapy. So far, 538
4 physicians have attended a workshop. We estimate
5 that we will continue our training efforts with an
6 anticipated 200 additional physicians to be trained

7 each year. Currently, 189 have fully completed the
8 proctoring program and are actively using the therapy
9 in their practice, and 88 physicians are in the
10 process of proctorship.

11 We are very pleased with the enthusiastic
12 adoption of sacral nerve stimulation by the physician
13 community. Later today you will be hearing from
14 Dr. Lefevre from the Blue Cross/Blue Shield
15 Technology Evaluation Center on reported evidence on
16 sacral nerve stimulation. I think it's also
17 important to know about the level of scientific
18 scrutiny by other technology assessment
19 organizations. In addition to Blue Cross/Blue Shield
20 assessments, SNS has been evaluated by Hayes, ECRI,
21 and numerous payor organizations.

22 For the record, Medtronic requested that
23 the panel address all three indications. We
24 acknowledge that HCFA has only asked the panel to
25 address two indications, urge incontinence and

00108
1 urgency frequency. Our understanding and our request
2 is that HCFA consider all three indications, urge
3 incontinence, urgency frequency, and retention in its
4 coverage policy considerations. This substantial
5 level of evaluation has been fueled by a high level
6 of publication. Since early 1999, 19 peer review
7 articles have been published or accepted for
8 publication. SNS has also been the subject of
9 numerous abstracts, posters, and presentations at
10 scientific meetings. This has served to increase
11 awareness as well as adoption of the therapy.

12 As evidence of this, you can see that
13 commercial payors have made positive coverage
14 decisions on hundreds of SNS cases. Over 60 have
15 issued a written coverage policy. Further, local
16 medicare jurisdictions have been active in providing
17 coverage, 34 have issued positive coverage policies,
18 13 provide individual case coverage, and three
19 jurisdictions are developing coverage policies, for a
20 total of 50 out of 52 jurisdictions. Almost all
21 Medicare beneficiaries have access to this therapy.
22 Thank you very much for your time and attention.

23 MS. CONRAD: Thank you, Miss Aronson.

24 DR. OLECK: Question. I don't know if you
25 can answer or one of the other people. In terms of

00109

1 other conditions that are being looked at now besides
2 the three that are listed, are there active studies
3 looking at this for other conditions, particularly,
4 it was mentioned to me before, the neurological
5 patients, but I was wondering for stress incontinence
6 or the primary pelvic pain patients that were one of
7 the exclusions, or other things.

8 MS. ARONSON: The most active trial going
9 on right now is utilizing sacral nerve stimulation
10 for bowel disorders. There is an active study group
11 underway with that and in fact we do have CE mark
12 approval for that device to be utilized for that in
13 Europe, so that is underway. There are also other,
14 we have a small study underway to look at the
15 effectiveness of sacral nerve stimulation in the
16 multiple sclerosis population, and in addition, we
17 are aware of some additional physician sponsored work
18 that is going on, but those would be the two main
19 areas that Medtronic is involved in.

20 DR. OLECK: Thank you.

21 MS. CONRAD: Thank you. Continuing with
22 the program, Dr. Mitch Burken.

23 DR. BURKEN: Good morning. My name is
24 Mitchell Burken and I'm a medical officer with the
25 HCFA coverage and analysis group. I'd just like to

00110

1 say, or I'd like to embellish some of Ms. Doherty's
2 earlier points before turning the program over to
3 Dr. Frank Lefevre of the Blue Cross/Blue Shield
4 Association, however, the intervening public speaker
5 have also included this information.

6 I think we've seen this diagram earlier in
7 some slightly different forms, but here we go, here
8 we have the pulse generator that's implanted
9 subcutaneously, wire passing through the sacral
10 foramen and enervating the sacral nerve roots, and
11 there's multiple points of enervation, but most
12 notably the bladder.

13 Urge incontinence, as we have discussed
14 earlier, is the involuntary loss of urine associated

15 with a strong desire to void, and this is urgency,
16 and it's usually associated with involuntary
17 contractions of the detrusor muscle. Such detrusor
18 instability can occur in both individuals with and
19 without specific neurological disorders.

20 The urgency frequency syndrome is well
21 described in the article by Brubaker and Sand from
22 1989. Urgency frequency syndrome is the
23 multifactorial presentation of urinary frequency,
24 that is, voiding intervals of two hours or less, or
25 more than seven times per day, combined with urgency,

00111

1 which is a powerful sensation to void regardless of
2 bladder volume. Patients may have easily treatable
3 causes such as uncomplicated cystitis. However,
4 bladder neoplasm or interstitial cystitis may have
5 the same presenting symptoms. The increasing
6 incidence and prevalence with age is due to several
7 factors such as atrophic changes in the epithelium
8 and the muscle composition of the urethra, as well as
9 the predilection for iatrogenic causes such as
10 catheterization and other instrumentation.

11 Now, I have a working definition of
12 refractory. It's important to note that this term
13 refractory is very central to the charge of the MCAC
14 today, and as a working definition, the patient has
15 already failed an attempt at one or more of the
16 following modalities: Behavioral therapy such as
17 prompted voiding or pelvic muscle exercises;
18 pharmacology such as anticholinergics; and surgery.
19 And earlier speakers have gone into these therapies
20 in more detail.

21 Finally, I just wanted to make the point
22 that the MCAC packet includes different types of
23 evidence, it includes the clinical trials data which
24 has been described and which Dr. Lefevre will also go
25 into. But there is also case series data which is in

00112

1 your packet, along with some tables which summarize
2 those case series reports. On the right-hand side of
3 the diagram is an alternative approach where clinical
4 trials data is used only and other approaches are set
5 aside and not reviewed.

6 Thank you, and Dr. Lefevre will follow.

7 MS. CONRAD: I invite Frank Lefevre to the
8 microphone please. Thank you, Dr. Burken.

9 DR. LEFEVRE: I want to thank the panel
10 for the opportunity to present our assessment of this
11 technology today. My name is Frank Lefevre from Blue
12 Cross/Blue Shield Technology Evaluation Center, and
13 also from Northwestern University.

14 The objective of our assessment was to
15 determine whether sacral nerve stimulation improves
16 health outcomes for patients with refractory urge
17 incontinence and urgency frequency syndrome. We used
18 an evidence based approach to perform this objective
19 and we will look today at the adequacy of the
20 evidence, both considering the methodological quality
21 of the evidence and the magnitude of effect, and we
22 will also consider the relevance to the Medicare
23 population.

24 Just a brief word about the Blue Cross TEC
25 center. It's one of the longest standing and most

00113
1 well established technology assessment bodies.
2 Established in 1985, has to date performed over 400
3 full length technology assessment reports, and
4 follows established rigorous methodology for evidence
5 based medicine, which includes external review by our
6 medical advisory panel, and this assessment has been
7 reviewed and approved by our medical advisory panel.
8 The TEC program has established partnerships with
9 Blue Cross plans as well as with Kaiser Permanente
10 since 1993, and since 1997 has been one of the 12
11 evidence based practice centers of the AHRQ. This
12 reflect an evolution of the TEC program from an
13 entirely proprietary organization in the 80s to a
14 more publicly available program, and in fact the TEC
15 program will in the next year or two become entirely
16 publicly available and all the TEC assessments will
17 be available to the public and to consumers as well
18 as physicians outside of the TEC program.

19 We used systematic review methodology for
20 approaching this question and these are the steps
21 that we follow in this methodology. The first step
22 is to establish a problem formulation, and the

23 problem formulation in essence will define for us
24 what are the patient indications for this procedure,
25 what is exactly the intervention that we are talking
00114

1 about, what are the outcomes that we will be
2 interested in, and then finally, what are the
3 comparison technologies that we want to compare this
4 to.

5 Following the problem formulation, we
6 would develop a priori study selection criteria which
7 will define what types of study will be adequate for
8 answering our question that we posed. Then we would
9 systematically search the literature for any studies
10 which meet this selection criteria, we would abstract
11 the outcome data that we have decided is relevant to
12 the assessment, and then go ahead and synthesize the
13 data, either qualitatively or quantitatively,
14 depending on the data available.

15 The problem formulation for this
16 assessment includes first of all, the patient
17 indications and as was stated before, refractory urge
18 incontinence and refractory urgency frequency
19 syndrome. We define refractory as patients who had
20 failed conservative treatment, and under conservative
21 treatment we would place both behavioral modalities
22 and drugs. The issue of whether someone should fail
23 surgery prior to this is questionable, but we didn't
24 feel that was an appropriate indication to include,
25 so we defined conservative treatment as drugs and/or
00115

1 behavioral therapies, although many patients who end
2 up getting this technology have already went through
3 surgical procedures.

4 The intervention was defined as an
5 implantable device that delivers controlled
6 electrical impulses to the sacral nerve roots with
7 the intent of modulating the neurological input to
8 the genital urinary system.

9 Now the outcomes we considered important
10 are listed here. Now the main outcomes in urinary
11 incontinence are derived from patient recorded
12 diaries, and when patients mainly record the number
13 of incontinent episodes or the number of times that

14 they void and then starting from this data, you can
15 calculate the outcome measures that we have here.
16 First of all, what's the percent change in the
17 frequency of incontinence and/or the frequency of
18 voiding. And this a prepost kind of measure as to
19 the percentage of change overall.

20 The percentage of patients improved is
21 often used as another outcome measure, and a 50
22 percent improvement in incontinence has been defined
23 by urological societies as a clinically significant
24 improvement. And so we would agree that percentage
25 of patients with a 50 percent improvement is a

00116
1 clinically important measure which can also be looked
2 at.

3 And lastly and perhaps the most important
4 measure, the percent of patients who are cured. And
5 when we're talking about urge incontinence, the
6 percent of patients who are cured are those who have
7 no further incontinence. When you're talking about
8 urgency frequency syndrome, the percentage of
9 patients who are cured are those that go below a
10 predefined threshold of what's normal voiding, and
11 that is typically defined as seven or less episodes
12 per day.

13 The second category of outcomes, which may
14 be very important, are quality of measures, and we
15 will talk about some quality of life measures, the
16 SF-36 that are included here. And then finally, we
17 will compare these beneficial outcomes with adverse
18 events outcomes to determine the net risk-benefit
19 ratio.

20 The comparison treatments are a bit
21 problematic in this assessment because of the issue
22 of the definition of refractory and what are the
23 appropriate comparisons. For someone who has gone
24 through all the available treatments, including
25 surgery, then the appropriate comparison is really no

00117
1 further treatment, because they really have no
2 alternatives. However, for patients who have only
3 completed conservative treatments, meaning behavioral
4 and pharmacological therapy, then surgical

5 alternatives are an appropriate comparison group.

6 Under surgical alternatives there are
7 quite a number of different variations of surgery and
8 I've listed three for here. For urge incontinence
9 particularly, there's the enterocystoplasty, this was
10 referred to as an augmentation cystoplasty. There's
11 also bladder denervation procedures, where the nerve
12 impulses to the bladder are interrupted. And also a
13 newer procedure called detrusor myeloectionomy, where
14 part of the detrusor muscle is taken out. Any of
15 these could be considered a viable alternative to
16 sacral nerve stimulation for certain patients.

17 Finally, urinary diversion can't be
18 considered a comparison treatment. This is a
19 permanent catheterization or cystectomy with
20 permanent suprapubic catheterization, but this is
21 really not an acceptable alternative for the majority
22 of patients that we will be considering for this
23 treatment.

24 So, our study selection criteria was full
25 length published literature in the English language,

00118

1 and it was refractory urge incontinence or urgency
2 frequency patients, and we did require that we would
3 want to see a concurrent comparison group which was
4 not treated with sacral nerve stimulation. This was
5 important because it did exclude many of the case
6 series or clinical series of this technology which
7 are available, but we did not feel that offered
8 strong evidence as to the true efficacy of the
9 procedure. And finally, the reports would have to
10 report on at least one of the relevant outcome
11 measures that we talked about.

12 And then our key question, just to repeat,
13 is for patients with refractory urge incontinence or
14 urgency frequency syndrome, does treatment with the
15 sacral nerve stimulation improve health outcomes?

16 Now, there were two articles about the
17 selection criteria, one in each category, and these
18 were both populations drawn from the same
19 multi-center study sponsored by Medtronic. Now we've
20 heard a lot about this study today and I think what
21 I'll try to do in the interest of time is not to

22 spend a lot of time on the results per se; the
23 results that have been presented are very much the
24 same as what I have, but try to focus more on the
25 interpretation of the results from our perspective,

00119

1 and are they valid and what do they mean.

2 There were several stages to this study,
3 as was mentioned. First, the test stimulation, the
4 peripheral nerve evaluation test. Secondly, the
5 randomized portion, in which sacral nerve stimulation
6 was compared to a control group, a waiting list
7 control. This was supplemented with the cohort
8 analysis, which was a longer follow-up of all
9 patients who received the technology. And finally,
10 the therapy evaluation test where the stimulation was
11 turned off and outcomes were reevaluated at that
12 point.

13 The patient population defined here, we've
14 seen some of this data before. Evidence that there
15 has been extensive prior treatment in these patients,
16 although the exact prior treatment is not
17 standardized. Patients may or may not have had
18 either or any of these treatments. For example, most
19 patients had drug treatment, almost all the patients
20 had drug treatment. Somewhat over half had prior
21 surgical procedures. Somewhat less than half overall
22 had had nonsurgical procedures, which would include
23 the behavioral treatment. And the number of prior
24 procedures are listed here for each of the
25 categories, an average of over one surgical procedure

00120

1 per patient in the urge incontinence, and over two
2 surgical procedures per patient in the urgency
3 frequency group. And also, a significant number of
4 nonsurgical procedures.

5 The average length of time of symptoms was
6 between seven and nine years, and the baseline amount
7 of incontinence or degree of severity of illness was
8 actually quite high. So I think there is evidence
9 that this is a severely ill population with extensive
10 and longstanding prior treatment, even though it's
11 not totally standardized as to what that was.

12 This was also discussed previously, sort

13 of the flow of the patients through the study, and I
14 just listed here for each of the categories again,
15 the urge incontinence and the urgency frequency, the
16 number of patients who enrolled in this study; this
17 is the number of patients who were eligible by the
18 eligibility criteria of the study in each category,
19 155 in the urge incontinence, and 222 in the urgency
20 frequency syndrome. Of these, the second line gives
21 you the number of patients who passed the test, the
22 peripheral nerve test phase, and were randomized. Of
23 the 155 urge incontinence patients, 63 percent of
24 them passed the peripheral nerve test; a total of 98
25 were eligible for randomization.

00121

1 And in the urgency frequency group, it was
2 somewhat less. A little more than a third of the
3 patients in this group passed the peripheral nerve
4 test and were eligible for randomization. A total of
5 80 were eligible for randomization in this group.

6 And finally, the patients evaluated at six
7 months. This was again, mentioned before, and
8 somewhat less than the number of patients who were
9 randomized. Most of the patients who were randomized
10 but were not evaluated at six months had not reached
11 the six-month time point at the time of the study
12 reporting. It was not truly dropouts; the number of
13 dropouts was somewhat less, I believe it was about 10
14 percent overall that were true dropouts. So this
15 number of patients evaluated is a subset of the
16 number of patients implanted but it is more a
17 function of who reached the time point at the time
18 the study results were reported.

19 These were results we have seen before.
20 This is the percent change in incontinence or in
21 voids. For the urge incontinence group it's the
22 percent change in incontinent episodes, number of
23 leaks per day. For the urgency frequency group, it's
24 the change in the number of voids per day. A 73
25 percent reduction for the urge incontinence group in

00122

1 the number of leaks per day, compared to a 22 percent
2 worsening in the control group, statistically
3 significant at 0.00 -- less than 0.0001. Somewhat

4 less impressive results for the urgency frequency
5 group, with a 45 percent overall reduction in the
6 number of voids per day compared to virtually no
7 change in the control group, again, statistically
8 significant at the same level.

9 The two other outcomes, the percent of
10 patients improved, again meaning the percentage of
11 patients with a greater than 50 percent improvement,
12 percentage of patients cured, 76 percent of the
13 patients urge incontinence had a 50 percent
14 improvement, 47 percent cured. Again, the 47 percent
15 who are cured are perhaps the single most important
16 outcome that we would consider in the urge
17 incontinence group; half of the patients were cured,
18 compared to zero percent in the control group.

19 In the urgency frequency group, again, not
20 quite as impressive results, but also statistically
21 significant. 15 percent of patients were cured,
22 meaning they had less than seven episodes per day,
23 seven voids per day, and 40 percent of them had a
24 greater than 50 percent improvement.

25 The quality of life outcomes, again, we

00123

1 have seen these before. For the urge incontinence
2 group, there were improvements on virtually all of
3 the measures of quality of life, the SF-36 measures.
4 Two of these reached statistical significance, the
5 physical functioning and the general health. For the
6 urgency frequency group, in contrast to the previous
7 outcomes, these outcomes were actually much more
8 impressive for the urgency frequency group, where
9 there was a greater magnitude of improvement in the
10 urgency frequency group, sometimes as high as 20 to
11 30 points on the SF-36 which is a very clinically
12 significant improvement, and seven of the eight
13 measures were statistically significant compared to
14 the control group.

15 Now when we look at the RCT portion of
16 this study, this basically is a positive study, so we
17 would next look at, are these results internally
18 valid, or could these results potentially be
19 explained by systematic bias, and we would choose
20 major areas of bias to look at, and to look at each

21 of these areas and the probability, the potential
22 that these biases are present, and then also the
23 likelihood that these biases, if they're present,
24 might invalidate the results of the study.

25 As far as selection bias goes, it was a

00124
1 randomized study, well randomized. There was no
2 indication that the groups were not comparable. A
3 very low problem of selection bias.

4 Withdrawal bias, I think this is important
5 to talk about, because of the diminishing numbers at
6 each stage of the study. And even though the numbers
7 were diminished, we don't think there was really much
8 likelihood for withdrawal bias because as I said, the
9 actual number of dropouts were actually low, and even
10 though the final number of patients is much lower, we
11 don't feel this is a problem for internal validity.
12 It's more a problem for generalizability of the
13 results. But as far as the internal validity of the
14 RCT portion, we feel withdrawal bias was not a
15 concern.

16 The main concern for bias was performance
17 bias in this study, and performance bias means the
18 equality of the intensity of treatment between the
19 experimental group and the control group. And in
20 this case of course, the implanted group had a much
21 higher intensity of treatment. And so you can ask,
22 was performance bias a big concern, was the placebo
23 effect a big concern? And there was a high potential
24 for performance bias in this study, and I'll address
25 this in a minute.

00125
1 I think there are some other aspects of
2 the follow-up that sort of minimize the probability
3 that performance bias explains the results. But
4 there is a potential for performance bias in this
5 study.

6 Ascertainment bias refers to ascertainment
7 of the outcomes and are the outcomes ascertained in
8 an objective way, and ideally in a way in which
9 there's no knowledge of treatment assignment in
10 ascertaining the outcomes. And we place the
11 potential for this bias at moderate, and this is more

12 a function of the type of outcomes that are used in
13 incontinence, the fact that these are self reported
14 outcomes, they're usually patient diaries that are
15 used to report incontinence. And even the quality of
16 life data is patient reported data. And of course
17 the patients know which group they are in so there is
18 some possibility for ascertainment bias but as I
19 said, it's more a function of the types of outcomes
20 that are used in studies of incontinence rather than
21 a function of the study itself.

22 Now, the next thing we looked at was the
23 adverse events, adverse effects of the procedure.
24 And listed here, these have been talked about again,
25 and are a relatively high rate of adverse effects

00126

1 overall, a total of over 50 percent of the patients
2 had experienced at least one of these adverse events.
3 The most common adverse event was pain at the implant
4 site, and often pain at the implant site was
5 corrected either by modulation of the stimuli or by
6 modulation of the device itself. None of these
7 events that were reported were considered real
8 serious and most of them as stated previously, were
9 resolved either with modulation of the impulse or
10 modulation of the device.

11 There were in the group of urge
12 incontinence, there were a total of six patients that
13 required permanent explantation of the device and
14 following explantation, the adverse effects were
15 resolved. But it did require taking out the device
16 in a subset, a small subset of patients.

17 Now the cohort analysis, I bring in here
18 mainly as a factor to look at in terms of the
19 randomized control trial in terms of looking at the
20 durability of the effect and also the possibility
21 that the difference that we saw in the randomized
22 trial might be due to performance bias and/or placebo
23 effect. And as stated previously, the cohort
24 analysis shows that these effects, this percentage of
25 patients improved is maintained over at least an 18

00127

1 to 24-month period with really no diminution of
2 effect. Now if performance bias or placebo effect

3 was operating there, you would expect that there
4 would be a fall-off in effect. Usually placebo
5 effects are short lived and will usually either
6 diminish greatly or disappear by six months, and
7 certainly by longer periods of time than that. So
8 this was taken as evidence, corroborating evidence to
9 the RCT that the effect is durable and also that the
10 possibility of performance bias explaining the
11 results is lessened.

12 The therapy evaluation test also gives
13 further evidence that the effect is truly due to the
14 device itself. Where the device is turned off and
15 the number of leaks or voids per day returns roughly
16 to baseline, and goes back to the previous level
17 after it's turned on again. This was also used as
18 evidence that the effect is reversible.

19 Now the comparisons to alternatives, I
20 think as I mentioned before, is somewhat problematic,
21 and the comparisons to alternatives, especially for
22 the urgency frequency syndrome are really lacking,
23 although I think we can say in the case of urgency
24 frequency, there's probably less good alternatives
25 than in the case of urge incontinence. And the

00128

1 available treatments here, no treatment, surgical
2 alternatives, or urinary diversion. The results of
3 the RCT really only allow us direct comparison to the
4 alternative of no further treatment. And this might
5 be the appropriate comparison group for those
6 patients who have gone through all available
7 alternative, including surgery, but it may not apply
8 to patients who still have a surgical alternative.

9 As I mentioned, urinary diversion is not
10 really an acceptable alternative in most cases and we
11 won't focus on that. So what about the comparison to
12 surgery? And this would apply primarily to the urge
13 incontinence patients but also to the urgency
14 frequency patients, but the data, any data on this
15 surgical alternative is really in the urge
16 incontinence patients. So we searched for evidence
17 of comparison in these patients, and in the AHCPR
18 guidelines they did a pooled analysis of
19 enterocystoplasty in patients with urge incontinence.

20 And of 10 studies that they looked at, they estimated
21 that there was a rate of continence without
22 catheterization of 38 percent. There was a higher
23 rate of continence, I think it was more in the 50 to
24 60 percent range, but these patients may require
25 intermittent catheterization to manage chronic

00129

1 voiding dysfunction as a result of the surgery
2 itself. And another thing to mention about this
3 comparison, it's not directly applicable, because it
4 would include many patients with neurological origins
5 of their urge incontinence and really what we're
6 concerned with are patients with a nonneurological
7 alternative.

8 We did find one rather large clinical
9 series of idiopathic detrusor instability, which is
10 more comparable to the patients with urge
11 incontinence or approximately 42 patients in which
12 there was a total of approximately 50 percent of the
13 patients reported they were either cured or greatly
14 improved. And this 50 percent could be compared to
15 the sacral nerve stimulation population, to those who
16 have a greater than 50 percent improvement, as
17 probably the most relevant comparison, and there we
18 have approximately 75 percent of patients who have
19 improvement, compared to this 50 percent for surgery.

20 So as far as we can make the comparison to
21 surgery, we can say that it looks like the sacral
22 nerve stimulation is probably at least as good in
23 terms of benefit if not better, and certainly, I
24 think the case is that the surgical alternatives have
25 higher morbidity, including significant rates of

00130

1 serious morbidity, including death and more serious
2 morbidity.

3 As far as the relevance to the Medicare
4 population, this was also discussed previously. The
5 mean age in the population was 46 years of age in the
6 urge incontinence and 38 years of age in the urgency
7 frequency syndrome. We don't really have any data to
8 say whether or not this is generalizable to the
9 Medicare population, we don't have any subgroup
10 analysis or stratification by age. We don't think

11 there's any evidence that treatment effect differs by
12 age for any of these incontinence treatments, and
13 there is no physiological rationale why elderly
14 patients would respond differently. That's about all
15 we can say about the generalizability to the Medicare
16 population.

17 So in summary, the strengths of the data
18 are listed here. The strengths of the data are that
19 this is a well done methodologically strong study;
20 it's a multi-center randomized control trial. It's a
21 carefully selected population. The protocol and the
22 outcomes are well described and well reported. I
23 think it deserves reiterating, the prior selection of
24 the patients, meaning the selection by the peripheral
25 nerve evaluation test, is likely to benefit the, or

00131
1 likely to benefit, likely to maximize the
2 benefit-risk ratio. This is sort of a choose the
3 winner approach, you know, choose who's going to
4 benefit, and I think you could look at this in two
5 ways.

6 In terms of when you're looking at the
7 magnitude of effect of the study in a scientific
8 sense, it may amplify the magnitude of effect. You
9 might reasonable decide that the denominator of
10 patients that you want to look at would be all
11 patients who are eligible for the device, and then
12 the numerator would be all patients who actually end
13 up benefitting from the device. That would give you
14 a much smaller magnitude of effect. However, the
15 other way to look at it is from a clinical
16 perspective, you're not exposing patients who may not
17 benefit to a potentially invasive procedure where
18 they're not benefitting.

19 So there's pluses and minuses to it. I
20 think from a scientific perspective, it may somewhat
21 overestimate the magnitude of effect, but from a
22 clinical perspective, it's certainly a good thing.

23 As far as the benefit, there is positive
24 outcomes and there is a relatively large magnitude of
25 effect on these implanted patients and the numerator

00132
1 and denominator are relatively large, but in a

2 statistical sense in comparison with the other
3 studies, there is a large magnitude of effect
4 compared to other treatments.

5 The results of the cohort analysis and the
6 therapy evaluation test minimize the possibility that
7 the results of the RCT are due to bias. And the
8 adverse effects in the study are not serious ones.
9 This doesn't rule out the fact that there might be
10 serious adverse effects, I think that's important to
11 say. A study of this type, of this duration and
12 number of patients, is not adequate for fully
13 determining the true rates of adverse effects and the
14 true rates of serious adverse effects, and I think it
15 will be important in the follow-up Medtronic study,
16 the five-year study with larger number of patients,
17 to better define what the true rate of adverse
18 effects is and whether or not there are serious
19 effects that might occur.

20 The weaknesses of the data, the obvious
21 weakness is that there's only one study, only one
22 randomized control study. There are the clinical
23 series, but there's only one RCT. And as mentioned
24 previously, there is only a subset of enrolled
25 patients who achieved benefits. And if you look at

00133

1 the number of patients who actually achieved benefit
2 to the total number of patients who are eligible, it
3 is a minority and I think that need to be taken into
4 account, primarily for the generalizability of the
5 results.

6 The definition of refractory is not
7 standardized and all patients did not go through the
8 exact same prior treatment prior to the procedure.
9 It's possible that some of the patients may have
10 benefitted from another type of therapy prior to
11 getting this, but we don't know that.

12 And then finally, the adverse effect rate
13 is high. Even though we said it was not serious, it
14 is high.

15 So in conclusions, we can say that for
16 patients with refractory urge incontinence or urgency
17 frequency syndrome, who have a successful peripheral
18 nerve evaluation test, that sacral nerve stimulation

19 is effective in reducing incontinence or reducing the
20 frequency of voiding and improving the quality of
21 life. The magnitude of effect is reasonably large.
22 We feel this is likely to be more effective than
23 available alternatives, although this is not
24 supported by evidence, direct evidence. And it's
25 also likely to have similar efficacy in the Medicare

00134

1 population, although again, not supported by direct
2 evidence. Thank you.

3 DR. GARBER: Thank you, Frank. Les?

4 DR. ZENDLE: Frank, I have two questions,
5 and I don't know if you can answer both of them.
6 First is, why wasn't retention addressed like the
7 other two conditions, urge incontinence and
8 frequency.

9 DR. LEFEVRE: Well, the retention data was
10 longer getting through the pipeline than the other
11 data, and at the time that we had done the
12 assessment, there was no data on retention published.
13 We had looked at the unpublished data on retention as
14 part of our evaluation here, and decided we would
15 like to see it go through the peer review process
16 before we would include that in the formal review.

17 DR. ZENDLE: My second question is, I'm
18 getting the sense that everybody loves this treatment
19 and I'm wondering, is there any group that doesn't
20 think this is a worthwhile treatment? I realize you
21 can't get, necessarily come here and tell us, but in
22 your looking through the literature and talking to
23 the clinical experts, did you hear any reluctance by
24 some to embrace it, and if you did, could you or
25 maybe some of the people that support the therapy

00135

1 explain maybe their motivation?

2 DR. LEFEVRE: I am probably not the best
3 one to answer that. I mean, I can probably comment
4 more on the literature than the experts I've talked
5 to, which is a subset of experts. I think of the
6 experts I talked to, most of them were positive. I
7 think there may have been one out of group of five or
8 six who had greater reservations in terms of the
9 technology had not fully evolved, we didn't know

10 really why it worked, we didn't know fully the
11 mechanisms, and he wanted to see a more complete
12 understanding of the technology prior to adoption.

13 As far as the evidence in the literature,
14 I don't think there is really much dissenting view
15 that I've seen or read.

16 DR. ZENDLE: There are no negative
17 editorials.

18 THE WITNESS: I don't recall any, no.

19 DR. GARBER: Maybe -- I don't mean this to
20 be a segue into the committee deliberations, but
21 Frank, while you're here, there is a question I'm
22 sure will come up in our panel deliberations and that
23 is something you touched upon. How do you define
24 refractory and what's a reasonable definition for the
25 panel to use based on the data that you have

00136

1 presented? The slide that you showed that gave the
2 percentages of different types prior to treatment
3 showed that virtually everybody received drugs, a
4 majority had received surgery, and then a minority
5 behavioral therapy, but a substantial minority. And
6 there will be a reasonable question that even though
7 the majority had received surgery, it sounded from
8 the tenor of all the comments that we heard today
9 that this would be an alternative to consider before
10 surgery in people who had failed noninvasive
11 therapies.

12 How reasonable is it to draw the
13 conclusion that refractory could be defined as
14 something like having failed drugs and/or behavioral
15 therapy? Would that fit with the data that you have
16 analyzed?

17 DR. LEFEVRE: Well, I think that would fit
18 with the definition that we had decided upon as
19 refractory, as what is clinically appropriate for a
20 definition of refractory, meaning failed both
21 behavioral and drug therapy. I don't think you can
22 say it really fits with the data per se, because the
23 population that we have here, a large number of them
24 had surgery, but I think that could only probably be
25 in favor of the data, because the population in the

00137

1 data would be more refractory than the population
2 that we would consider.

3 Although having already said that, there
4 is a mix of that, there is a mix because there is
5 less, you know -- I think it's hard to say, because
6 the population is really mixed and it's not
7 standardized as to who got the sacral nerve
8 stimulation, what they had had previously. I think
9 clinically it does make sense to make the definition
10 as having failed behavioral and drug therapy.

11 DR. GARBER: Clinically it does?

12 THE WITNESS: It does make sense I think,
13 yes.

14 DR. GARBER: Thank you. If there are no
15 further questions for Frank or for Mitch Burken, we
16 can proceed to open panel deliberations.

17 DR. TUNIS: I was going to make just one
18 more comment on the question regarding retention, and
19 I think it was mostly clarified, but we had been
20 discussing this with the folks from Medtronic and the
21 publication I believe is in press now for the
22 retention data, and it hasn't actually come out yet.
23 And so for us to provide the panel with the
24 unpublished data would actually put it in the public
25 domain, which we obviously couldn't do. So since the

00138

1 panel couldn't possibly discuss the data on
2 retention, we decided that we would address that
3 internally within HCFA, since it should come out in
4 the time frame that we have available to us before we
5 have to do our final decision, and we will certainly
6 take the comments of the panel regarding this other
7 data into account as we interpret the retention data.

8 DR. HOLTGREWE: Would a motion be
9 appropriate at this juncture?

10 DR. GARBER: It depends on what the motion
11 is.

12 DR. HOLTGREWE: I move that the committee
13 recognize there is adequate evidence to draw
14 conclusions about the effectiveness of sacral nerve
15 stimulation in the Medicare population for two
16 indications, refractory urinary urge incontinence,
17 and refractory urge frequency syndrome.

18 DR. GARBBER: Okay. Is there a second to
19 that motion?

20 DR. SIGSBEE: Second.

21 DR. GARBBER: Discussion? Yes, Adrian.

22 DR. OLECK: I just wonder whether there's
23 any concern from the other panel members about this
24 issue of the neurological patients. I still,
25 neurological conditions seem to be underlying cause

00139

1 for some of these people with incontinence, and this
2 is a treatment that is aimed at neuromodulation, and
3 I guess I'm a little uncomfortable with the fact that
4 those people were specifically excluded from the
5 study and yet the recommendations we're proposing
6 don't address that at all. Is that a concern to
7 anyone else?

8 DR. HOLTGREWE: The problem you have when
9 you include neurological disorders is it is such a
10 mixed bag. You can't even say that multiple
11 sclerosis patients all act the same; they're all
12 different. And I think that it was appropriate in
13 the studies that were constructed here to exclude
14 these people, because it would be a confounding
15 factor to an enormous degree. Now this doesn't mean
16 that this might not be an acceptable technology, but
17 I think it awaits further study.

18 DR. GARBBER: Adrian, as I understand the
19 way that the questions were formulated, they adhere
20 closely, perhaps not perfectly, to the way the
21 studies were designed, so that the indications
22 closely correspond to the randomized trials, and I
23 think that's perhaps one of the reasons people don't
24 feel uncomfortable about that issue. Les?

25 DR. ZENDLE: I thought maybe I would just

00140

1 address two of the follow-up points that go along
2 with that question, because I think it probably needs
3 to be reiterated, and it came up in both the
4 testimony and the assessment, and that's that
5 although it is reasonable to say that the results are
6 applicable to the Medicare population, that's not
7 from direct evidence, it's probably from indirect
8 evidence. And again, that doesn't in any way make me

9 reluctant to approve this, but I just think it should
10 be noted.

11 And secondly, although this should be
12 generalizable beyond the research setting, many
13 people stressed the importance of training and
14 adequate proctoring and all that, and I think the
15 fact that Medtronic has such a good program is to be
16 commended, but I also think we ought to state that
17 there is a learning curve and that, I don't know how
18 to state some concern, that only those who are
19 appropriately trained do this procedure.

20 DR. GARBER: That's something you can do
21 internally at HCFA?

22 MS. CONRAD: Yes.

23 DR. GARBER: In fact, you might want to
24 take your point to say this is how you address
25 whether this generalizes beyond the research setting,

00141

1 since they have instituted a training program, so
2 under those conditions, that's how it generalizes.

3 DR. ZENDLE: Yes. And I don't think it
4 needs to be in the motion but I wanted it to be in
5 the discussion, that we agree that it should be part
6 of, or that I agree anyway, that it should be part of
7 the training program and that helps me feel
8 comfortable that there's enough evidence that this is
9 worthwhile.

10 DR. SIGSBEE: Just a point of
11 clarification, I at least had understood that under
12 the FDA approval process, this device could be sold
13 only to physicians who met the criteria of going
14 through the training program, so there is that
15 barrier already in place. And so, somebody can't
16 just decide that they're going to start implanting.

17 DR. TUNIS: Just also to further explore
18 that, if any of the folk from Medtronic could comment
19 on this. It would be helpful for us to understand a
20 little bit more about how much of the training is
21 required to get the typical practitioner up to speed
22 in terms of being able to do not only the
23 implantation, but the test procedures, et cetera? Is
24 there any kind of comments on that in terms of the
25 proctoring program?

00142

1 DR. GARBER: Sorry, Connie. We have to
2 take roll call.

3 MS. CONRAD: Excuse me. For today's panel
4 meeting, voting members present are Michael Maves,
5 Kenneth Brin, Logan Holtgrewe, Angus McBryde, Bruce
6 Sigsbee, and Les Zendle. A quorum is present. No
7 one has been recused because of conflicts of
8 interest. Thank you.

9 DR. GARBER: Sorry. You can go ahead now.

10 MS. ARONSON: The question is, what's
11 really involved and how can we -- can you restate the
12 question one more time for me?

13 DR. TUNIS: I'm trying to get a sense of
14 how in any way we would be able to understand what
15 sort of adequate training to get people who are
16 learning this procedure up to the point where they
17 are competent by some measure.

18 MS. ARONSON: Right. Well as we
19 mentioned, the process is first the day and a half
20 didactic course, which includes a cadaver work shop.
21 Then the proctorship on the first two stimulations,
22 and another proctorship on the first two implants.
23 Following each one of those steps, it's reviewed by
24 both proctor and the person being proctored and at
25 that upon, if it's felt buy either party that

00143

1 additional training may be required, or if the
2 proctor would get in and say for example, I really
3 didn't feel comfortable that this physician was
4 comfortable doing the therapy, as I mentioned before,
5 we have established sites across the country of our
6 experienced implanters, where this person can then go
7 to one of the on-site locations and get additional
8 training. So we really do take it to all the steps
9 to make sure that both parties feel as though we have
10 a proficient test stimulator and implanting
11 physician.

12 DR. TUNIS: Just, I've learned for the
13 first time that there is an FDA requirement that this
14 training be in place.

15 MS. ARONSON: That's correct. When we
16 received the initial FDA approval in September of

17 1997, the FDA did mandate that as a condition of
18 approval, we would establish a training program. So
19 this is the training program that we discussed and
20 agreed upon with the FDA.

21 DR. TUNIS: Okay. So the FDA actually
22 reviewed the contents of the training program?

23 MS. ARONSON: That's correct.

24 SPEAKER: I took the course in November,
25 Dr. Siegel came and proctored me in February, and we

00144

1 did the first implants in March. The rep from the
2 company still comes for all our test implants, he
3 still comes for all my surgical implants, because I
4 still feel like I need that feedback. It's not that
5 he's showing me how to do anything, but he's there in
6 case I have questions. If he doesn't know, he calls
7 the company or Dr. Siegel, and he will actually be
8 there until I tell him I don't want him anymore.

9 The other thing is not just the surgical
10 implant of it, it's also doing the fine tuning when
11 the patients come in to get activated, and it's not
12 unusual to need to fine tune them several times in
13 the first six months to 12 months. And again, the
14 sales rep comes back for all the activations. My
15 nurse, they went to a course to learn how to do the
16 activations, but a lot of it is not just you push
17 this button and this button, but it's a lot of
18 clinical playing around and again, there are very
19 supportive.

20 DR. GARBER: Do any of the panelists want
21 to address this issue about how we define refractory,
22 or would you rather leave the language just
23 refractory, without a definition? I think HCFA would
24 probably -- would you like somewhat more guidance
25 than just refractory or not, from the panel?

00145

1 DR. ZENDLE: Really, the question is do
2 you include surgical in refractory, and I think
3 people want to avoid, one, many people want to avoid
4 it, and two, it's an alternative, and it appears this
5 has better outcomes than surgical, so why would we
6 want to include that as a definition?

7 DR. GARBER: Right. You could define

8 refractory without requiring prior surgery to be part
9 of refractory, if that's the way you feel.

10 DR. ZENDLE: Do we really need to though?

11 DR. HOLTGREWE: The surgical procedures
12 that are used here are, number one, virtually
13 irreversible and carry with them substantial risks
14 far in excess of what we have looked at here this
15 morning in terms of sacral nerve stimulation, so I
16 think the algorithm would be failure of medical
17 management and behavioral therapy, and then you go to
18 SNS rather than going to surgery. Surgery was used
19 because there was no other alternative at that time.

20 DR. GARBER: Bruce?

21 DR. SIGSBEE: It's been said.

22 DR. GARBER: I think we're all in
23 agreement about the circumstances in which it should
24 be used. The question is, do you want to have
25 language to the effect that refractory means failure

00146

1 of, you might call it conservative measures, i.e.,
2 drugs and/or behavioral therapy?

3 DR. ZENDLE: What would the purpose of
4 that be? Are we afraid that somehow HCFA is going to
5 require someone to have surgical before they get
6 this?

7 DR. GARBER: Well, that's certainly -- if
8 you go straight from the studies, where you have the
9 majority of people getting surgery, that is an
10 inference that's possible to draw. So if you felt
11 strongly that you didn't want to require surgery, you
12 might want to define refractory.

13 DR. ZENDLE: Again, I don't think we are
14 addressing coverage here, so I don't see a need to be
15 really stating that.

16 DR. GARBER: I'm just trying to make sure
17 we have this issue covered, so if you want to say
18 anything, it's the sense of the panel that you don't
19 want to define refractory?

20 DR. MCBRYDE: It seems to me that if you
21 do, you would have to include a time limitation too,
22 that ought to be one of the requirements, and then
23 define surgery, because all of them virtually I'm
24 sure have had cystoscopy and some other procedural

25 stuff, so are we talking about those major surgeries.
00147

1 DR. GARBBER: Okay. So, the motion on the
2 floor is the language as stated in the questions
3 posed to the panel and the answer to the question --
4 Logan, you were the one who made the motion?

5 Dr. HOLTGREWE: I made the motion.

6 DR. GARBBER: And it was to answer it yes,
7 correct?

8 DR. HOLTGREWE: Correct.

9 DR. GARBBER: Any further discussion?

10 Dr. MCBRYDE: While we're waiting, can I
11 ask two small points related to Medicare population?
12 First of all, did any of the Medicare population in
13 any of the studies get dry, in other words, they got
14 a total hundred percent cure? I remember some of
15 them did in the younger population. Did they,
16 Dr. Siegel?

17 DR. SIEGEL: Yes.

18 DR. MCBRYDE: Okay. And secondly, were
19 any of the patients involved, even though initially
20 they weren't suspect for any neurological disease,
21 did any of them turn out or have they turned out in
22 any of the studies to have some M.S. Or some sort of
23 neurological problem?

24 DR. SIEGEL: I am not aware of any.

25 DR. GARBBER: Are there any members of the
00148

1 public who have not spoken, or who have spoken and
2 would like to speak now?

3 MS. OLESON: I would just like to address
4 the question on defining what refractory means, and
5 if -- the subjects in the study were indeed
6 refractory to all forms of therapy, including surgery
7 in 58 percent of the subjects. We also did follow
8 after implant the use of concomitant therapies,
9 including drugs, interventions and surgeries. And
10 what we had seen with long-term follow-up past 24
11 months, the use of non-Inter-stim related surgeries
12 dropped from a baseline of 58 percent of patients
13 down to less than 3 percent through several years of
14 follow-up, so that might help you to address the
15 issue of defining refractory.

16 DR. GARBBER: Thank you, although we have
17 already decided not to define it, but HCFA should
18 take that into account. Yes.

19 DR. BENSON: I would also like to address
20 the question about surgery as a prerequisite. These
21 patients have a combination of symptoms, stress
22 incontinence and urge incontinence. Most of the
23 surgical procedures were stress incontinence
24 procedures, which are sort of done as the last resort
25 in patients before you had other modalities of

00149
1 therapy. Nothing else has worked, so I'll try my
2 stress incontinence procedure. So requiring surgery
3 to be failed in this group would be self defeating,
4 so it should not be a prerequisite before they go to
5 this kind of therapy. The only real surgery for the
6 urge incontinence group are denervation procedures or
7 bladder augmentation procedures or shunting.

8 DR. GARBBER: Thank you.

9 DR. TUNIS: Maybe this is a question for
10 Dr. Siegal or other folks involved in the trial, but
11 when Dr. Lefevre was reviewing some of the
12 information about the prior therapies that patients
13 had had, it looked like something on the order of 50
14 percent overall for the two indications had had prior
15 behavioral therapy. And I guess the question to you
16 is given the relatively high rate of adverse events,
17 why wasn't the behavioral therapy sort of a required
18 prior intervention.

19 DR. SIEGEL: This is a factor of the fact
20 that the study took place in 22 centers, in several
21 different countries, and the standards of therapy
22 available to the patients differed greatly. For
23 example in our center, 100 percent of the patients
24 enrolled had conservative therapy including
25 biofeedback and other interventions. And in some

00150
1 centers where this was not routinely offered, maybe
2 none of the patients did. So I think the problem has
3 to do with the number of study centers throughout the
4 world that were enrolled, and I would continue to
5 encourage my colleagues here in the United States at
6 least to follow the standard that was discussed

7 today, which is some sort of trial of behavioral
8 therapies and drug therapies before consideration of
9 sacral nerve stimulation.

10 DR. TUNIS: So maybe then, and this is
11 more in the form of badgering the panel, but they
12 don't have to respond if they don't want to, but kind
13 of along these same lines is that one way clearly we
14 will be internally thinking about this whole notion
15 of refractory therapy is whether to approach this as
16 patient should have failed adequate behavioral
17 therapy and drug therapy prior to going to sacral
18 nerve stimulation, the logic of that being this
19 relatively high rate of adverse events. That's what
20 I would throw on the table. I'd just like to get
21 some feedback from either the panel or the audience
22 on the wisdom or lack of wisdom of that, given that
23 we're going to have to talk about it internally.

24 DR. BENSON: When you say and there, there
25 are some patients who cannot use the drug therapy

00151

1 where it's contraindicated.

2 DR. ZENDLE: I think it's common sense to
3 say that they have to fail those two therapies, but I
4 include failed therapy as a patient that is not able
5 to take it or whatever, I include that as a failure.
6 So I don't think we need to go beyond that, just
7 because it's so common sense, but if you want us to,
8 we could.

9 DR. GARBER: Yes, Mike.

10 DR. MAVES: You know, Sean, I think your
11 point is a good one and it actually is something that
12 I sat and wrestled with a little bit. I think the
13 question is how to select the patients that receive
14 this treatment. I think the refractory language will
15 give the Agency guidance on that with the sense that
16 the panel feels that ought to be, and I think, you
17 know, how that actually gets implemented into a
18 coverage decision is clearly in the purview of you
19 and the rest of the folks at HCFA.

20 So, those are two things that I sort of
21 thought a little bit about, but I think again, the
22 sort of coverage itself is not our purview, and I
23 think the refractory language helps me at least to

24 say, yes, I think there needs to be some sort of a
25 selection that goes on in these patients, I have

00152

1 several questions about that, but I'm satisfied that
2 this is not something that gets offered to patients a
3 priori without having some, it sounds like everybody
4 had something done in some form, and for any variety
5 of reasons, they may or may not be able to tolerate
6 it, and I think the refractory language captures that
7 for me.

8 DR. GARBER: Bruce?

9 DR. SIGSBEE: Plus, I think that we have
10 to avoid trying to micromanage clinical practice. If
11 the clinician has an algorithm and decision process,
12 and new information may come forward next year that
13 modifies the sequence of how the procedures are
14 offered the patients, and I'm not sure it's worth
15 trying to codify regulations in this specific
16 sequence this morning.

17 DR. TUNIS: Okay. I think just to further
18 express at least the concern that I'm laying on the
19 table is that I'm imagining that should coverage be
20 provided for this procedure, that the number of
21 practitioners offering it will be much higher,
22 whether or not Medtronic has the infrastructure to
23 provide the same level of attention and training to a
24 much broader group of practitioners is unknown, and
25 so the adverse event rates that are reported in these

00153

1 trials are likely to go up substantially.

2 And so, you know, I don't think we spent a
3 lot of time talking about the adverse events, but
4 that's the issue and why I'm kind of pressing on this
5 issue.

6 DR. GARBER: Well, I think you have the
7 clear understanding from the panel that first of all,
8 this was done in a multi-institutional trial, so it
9 is not all of one site, one person operating or
10 anything like that. And I think if I'm correctly
11 reporting the sense of the panel, the assumption is
12 that this would only, that our conclusion about
13 adequacy and presumably effectiveness, presumes that
14 they get training similar to the training of the

15 physicians participating in the trials. And I don't
16 know how reassured you should feel by the fact that
17 that's a condition for FDA approval, but in fact that
18 is what our discussion is predicated on, that they
19 will get comparable training. So, that's actually
20 better than is typical for surgical procedures. Ken?

21 DR. BRIN: Just to address that very
22 directly, in my area, particularly in interventional
23 cardiology, most new technologies that come out,
24 there is a very formal training period. The formal
25 training period is mandated in essence by the FDA

00154

1 through how they approve that device or that
2 technique, but it is also mandate by each
3 individual's hospital's credentialing committee,
4 which requires that. And I say that both in terms of
5 trying to reassure HCFA that these mechanisms are set
6 up, but also with the hope that the HCFA final ruling
7 does not address, other than to mention appropriate
8 training, because if in fact we have to as
9 practitioners provide evidence to our local
10 intermediary that we have gone through the training,
11 this is going to add yet another level of
12 administrative difficulty that is already being met
13 by at least two other levels.

14 DR. GARBER: Okay.

15 DR. McBRYDE: I have one other thought.
16 It is worth thinking about that in a little more
17 depth, because much of your information about the
18 initial diagnoses, not the Steves of the world, but
19 in urology, there are a number of people I'm sure
20 that have psychological problems that have this type
21 of thing, it's all subjective, most of your outcome
22 as well as your income, if you will, is subjective.
23 So it is important to step back even one step
24 further. You can always document treatment, but you
25 can't always document, is this really the problem, so

00155

1 the diagnosis itself becomes really important too,
2 not to have it mixed on the front end even one step
3 back from the treatment documentation.

4 DR. GARBER: Okay. Anybody else from the
5 public want to speak. If not, does anybody from the

6 panel want to raise further discussion? If not,
7 we're ready to take a vote.

8 The motion on the floor is to answer yes
9 to question one about adequacy of evidence. All
10 those in favor?

11 Unanimous.

12 I'm going to ask you to quickly, we don't
13 need to spend a lot of time, go through the reasons
14 for your vote, preferably addressing the consistency
15 of the results, the applicability to the Medicare
16 population, generalizability beyond the research
17 setting. Start with --

18 DR. ZENDLE: I thought we did this
19 already.

20 DR. GARBER: It's implicit in your
21 comments, but not everybody spoke on all of these
22 points, and you can say you agree with the person
23 before you. So Les, you can start off.

24 DR. ZENDLE: I think I already stated my
25 opinion and the reasons why I support it.

00156

1 DR. GARBER: Okay, Ken?

2 DR. BRIN: I already said my bit
3 previously. Let me address, consistency when there
4 is one study is relatively irrelevant.
5 Applicability, I think we have discussed that
6 already. It would be nice to have more data and I
7 presume with time we'll get more data, but we can
8 only use what our experts have otherwise mentioned
9 which is, it is highly likely, and then watch the
10 outcomes here.

11 As far as generalizability, I think that
12 many of the settings in which it has been used are
13 what one would call routine clinical settings, so I
14 think it is generalizable.

15 DR. GARBER: Thanks. Angus?

16 DR. McBRYDE: My vote is yes. I do think
17 there are, and I don't know enough about the
18 potential for abuse, and it's not our purview in this
19 committee to talk about CPT codes and how many would
20 be used, and what the accelerated usage of the
21 implant would be, but it's something to keep in mind.
22 It's efficacious in my opinion.

23 DR. GARBBER: Logan?

24 DR. HOLTGREWE: I felt that the two
25 randomized prospective trials that were presented

00157
1 were rather compelling, and I feel that they
2 demonstrate without question that this is a valuable
3 technology, in the absence of anything else as good.

4 DR. GARBBER: Thank you. Mike?

5 DR. MAVES: I will echo Dr. Brin's
6 comments.

7 DR. GARBBER: Okay, ditto. Bruce?

8 DR. SIGSBEE: As a neurologist, I think I
9 would like to comment a little bit about the concern
10 with neurological procedures, particularly M.S. I
11 would probably have done the same thing in setting up
12 the research protocol to exclude particularly
13 patients with M.S. The underlying physiology of this
14 methodology is not known, there is an important
15 afferent arc, M.S. Patients have lesions spread
16 throughout the nervous system, and a failure in that
17 patient, it's not known whether it would be due to a
18 failure of the technique, or was it because there is
19 in that particular patient interference with the
20 appropriate arc. We're talking about a contin level
21 vectoration center, and obviously a lot of lesions
22 could exist between the stimulation site. So I think
23 that it was very appropriate to have as clean a study
24 population with as few variables as possible to
25 demonstrate to try to demonstrate whether the

00158
1 technique works or not. But also in my view, I think
2 it is probably entirely generalizable to neurologic
3 patients and their problems and we will get more
4 data.

5 DR. GARBBER: Okay, thank you. Is the
6 panel ready to tackle the second question? Les?

7 Dr. ZENDLE: Yeah. I'd like to move that
8 we answer the second question as fitting the category
9 of more effective, and I will state why after
10 somebody seconds.

11 DR. GARBBER: Is there a second to that
12 motion?

13 DR. McBRYDE: Second.

14 DR. GARBER: Okay.

15 DR. ZENDLE: I think, as was discussed
16 when we were talking about the first motion, and as
17 the case was presented, there are some problems with
18 the results, and I think what it leads me to believe
19 is that I'm not so sure -- I don't think it's a small
20 effect, I don't think it's a large effect, it's
21 somewhere in between, and I think to have to say
22 something is a breakthrough technology is maybe just
23 the semantics of the word. I don't know that there's
24 enough evidence to support that. But I also don't
25 think it's relevant to the information that HCFA

00159

1 needs, and we have all stated that we are going to
2 have to see how the results keep coming in,
3 especially in regards to the Medicare population. So
4 I have no trouble supporting more effective at this
5 point.

6 Dr. GARBER: Logan?

7 DR. HOLTGREWE: I would concur. I think
8 that part of the definition we've been given by HCFA
9 that the outcome is so large that the intervention
10 becomes a quote, standard of care, closed quote, and
11 I'm not convinced at this juncture that that this is
12 quote, standard of care, closed quote, where you
13 really have to do it or you're guilty of malpractice,
14 which is the definition of standard of care, so I
15 think more effective is the proper category.

16 DR. GARBER: Further discussion? So the
17 motion on the floor is to assign it Category 2, more
18 effective.

19 All those that in favor?

20 Unanimous.

21 Well, I think that ends our business.

22 Connie?

23 MS. CONRAD: To conclude today's panel
24 meeting, I would like to announce that the Executive
25 Committee is scheduled to meet November 7th, here in

00160

1 the Convention Center. And I would like to thank all
2 the panelists and participants, and could I have a
3 motion that the meeting be adjourned?

4 DR. GARBER: Actually, before we have that

5 motion, let me also thank the people who spoke on
6 behalf of the public. I think you could see that
7 there were a lot of questions for you, the
8 information was very helpful to the panel in its
9 deliberations.

10 I will now entertain a motion for
11 adjournment.

12 DR. HOLTGREWE: So moved.

13 DR. SIGSBEE: Second.

14 DR. GARBER: All in favor?

15 (The meeting adjourned at 11:57 a.m.)
16
17
18
19
20
21
22
23
24
25