

Transcript of November 15, 1999 Morning Session

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00001

1
2
3
4
5
6
7
8
9

VOLUME I
(Morning Session - November 15, 1999)

10
11
12
13
14
15
16
17
18
19

HUMAN TUMOR ASSAY SYSTEMS

HEALTH CARE FINANCING ADMINISTRATION
Medicare Coverage Advisory Committee
Laboratory & Diagnostic Services Panel

20
21
22
23
24
25

November 15 and 16, 1999

Sheraton Inner Harbor Hotel
Baltimore, Maryland

00002

1
2
3
4
5
6

Panelists
Chairperson
John H. Ferguson, M.D.

Vice-Chairperson
Robert L. Murray, M.D.
Voting Members
David N. Sundwall, M.D.
George G. Klee, M.D., Ph.D.
Paul D. Mintz, M.D.

7 Richard J. Hausner, M.D.
Mary E. Kass, M.D.
8 Cheryl J. Kraft, M.S.
Neysa R. Simmers, M.B.A.
9 John J.S. Brooks, M.D.
Paul M. Fischer, M.D.

10
11 Temporary Voting Member
Kathy Helzlsouer, M.D.
12 Consumer Representative
Kathryn A. Snow, M.H.A.

13
14 Industry Representative
James (Rod) Barnes, M.B.A.
15 Carrier Medical Director
Bryan Loy, M.D., M.B.A.

16
17 Director of Coverage, HCFA
Grant Bagley, M.D.
18 Executive Secretary
Katherine Tillman, R.N., M.S.

19
20
21
22
23
24
25
00003

1 TABLE OF CONTENTS

	Page
2 Welcome and Conflict of Interest Statement Katherine Tillman, R.N., M.A.	5
3	
4 Opening Remarks & Overview Grant Bagley, M.D.	10
5 Chairman's Remarks John H. Ferguson, M.D.	28
6 Brian E. Harvey, M.D., Ph.D.	30
7	
8 Open Public Comments & Scheduled Commentaries Frank J. Kiesner, J.D.	48

	Larry Weisenthal, M.D.	57
9	Randy Stein	92
	Richard H. Nalick, M.D.	99
10	William R. Grace, M.D.	108
	John P. Fruehauf, M.D., Ph.D.	110
11	James Orr, M.D.	127
	Robert M. Hoffman, Ph.D.	131
12	Andrew G. Bosanquet, Ph.D.	136
	David Alberts, M.D.	142
13	Robert Nagourney, M.D.	147
	David Kern, M.D.	159
14	Daniel F. Hayes, M.D.	168
	Bryan Loy, M.D.	178

15	LUNCH	196
----	-------	-----

VOLUME II

Open Public Comments & Scheduled Commentaries

18	Edward Sausville, M.D.	201
	Harry Handelsman, D.O.	227
19	Harry Burke, M.D., Ph.D.	234
	Mitchell I. Burken, M.D.	262

20	Open Committee Discussion	304
----	---------------------------	-----

21	Day One Adjournment	330
----	---------------------	-----

22
23
24
25

00004

TABLE OF CONTENTS (Continued)

VOLUME III

3	Opening Remarks - Introduction	336
4	Open Committee Discussion	337
5	Motions, Discussions and Recommendations	425
6	Adjournment	487

7
8
9

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
00005

PANEL PROCEEDINGS

(The meeting was called to order at
8:00 a.m., Monday, November 15, 1999.)

MS. TILLMAN: Good Morning, and
welcome. Dr. Ferguson, Dr. Bagley, members and
guests, I'm Kate Tillman, Executive Secretary of
the Laboratory and Diagnostic Services Panel of
the Medicare Coverage Advisory Committee. The
committee is here today to provide advice and
recommendations to the Agency regarding formal
requests pertaining to human tumor assay
systems. This is the first meeting of the
laboratory panel.

We are happy to have such a
distinguished panel. Thank you all for coming.

Today I would like to welcome Dr. Bryan
Loy, carrier medical director, from Administar,
who is our guest.

We have one member of the panel who has
received an appointment to temporary voting
status, and that is Dr. Kathy Helzlsouer.

We have a couple of pieces of business
to take care of here. The appointment to
temporary voting status. This is signed by
Michael Hash, Deputy Administrator for Health

00006

1 Care Financing Administration. Pursuant to the
2 authority granted under the Medicare Coverage
3 Advisory Committee charter, dated November 24th,
4 1998, I appoint the following person as voting
5 member of the laboratory and diagnostic services
6 panel for the duration of this panel meeting on
7 November 15th and 16th, 1999: Kathy Helzlsouer,
8 M.D. For the record, this individual is a
9 special government employee and is a voting
10 member of the panel under Medicare Coverage
11 Advisory Committee. We have undergone the
12 customary conflict of interest review and have
13 reviewed the material to be considered in this
14 meeting. Signed, Michael M. Hash, Deputy
15 Administrator.

16 The conflict of interest statement:
17 Conflict of interest for the laboratory and
18 diagnostic services panel meeting, November 15th
19 and 16th, 1999. The following announcement
20 addresses conflict of interest issues associated
21 with this meeting and is made part of the record
22 to preclude even the appearance of impropriety.
23 To determine if any conflict existed, the Agency
24 reviewed the submitted agenda and all financial
25 interests reported by the committee

00007

1 participants. The conflict of interest statutes
2 prohibit special government employees from
3 participating in matters that could affect their
4 or their employers' financial interests. The
5 Agency has determined that all members and
6 consultants may participate in the matters before
7 the committee today.

8 With respect to all other participants,
9 we ask in the interest of fairness that all
10 persons making statements or presentations
11 disclose any current or previous financial
12 involvement in any firm whose products or
13 services they may wish to comment on.

14 Now I am going to turn the meeting over
15 to our chairman, Dr. John Ferguson, who will
16 introduce the panel.

17 DR. FERGUSON: Good morning, and

18 welcome to everybody here. I would like to have
19 the panel members introduce themselves, starting
20 from over here on my far left.

21 MS. SIMMERS: I'm Neysa Simmers.

22 DR. FERGUSON: Could you also say where
23 you're from and what you're doing in life?

24 MS. SIMMERS: I am Lisa Simmers. I'm
25 from Bridgewater, Virginia. I am currently a

00008

1 health care administrator, and am here in the
2 interest of the laboratory community, I guess.

3 DR. SUNDWALL: I'm David Sundwall. I'm
4 a physician and I'm president of the American
5 Clinical Laboratory Association, in Washington,
6 D.C.

7 DR. FERGUSON: You have to speak into
8 these microphones like a rock singer, I think.

9 DR. KLEE: I am George Klee. I am from
10 Rochester, Minnesota, and I'm a clinical
11 pathologist.

12 DR. FISCHER: Paul Fischer. I'm a
13 family physician from Augusta, Georgia.

14 DR. BROOKS: John Brooks. I am
15 chairman of pathology and laboratory medicine at
16 Roswell Park Cancer Institute.

17 MR. BARNES: Rod Barnes. I am the
18 industry rep on the panel. I work for AlCon Labs
19 in Fort Worth, Texas.

20 DR. BAGLEY: I'm Grant Bagley. I'm the
21 Federal representative on the panel, and director
22 of coverage in HCFA.

23 DR. FERGUSON: I am John Ferguson. I
24 am a practicing neurologist, and I have just
25 retired from the NIH, where I directed the

00009

1 consensus development program for the last 11
2 years.

3 DR. MURRAY: I'm Robert Murray, a
4 clinical biochemist in practice in Chicago,
5 Illinois.

6 DR. LOY: I'm Bryan Loy. I am with the
7 Kentucky Medicare carrier. I represent the
8 Medicare system at the state carrier level.

9 MS. SNOW: I am Kate Snow. I am the
10 consumer rep on this panel, and I am the director
11 of senior services for Northern Michigan Regional
12 Health Service, and I am an advanced practice
13 nurse in gerontology.

14 DR. KASS: I am Mary Kass. I am
15 chairman of pathology at Washington Hospital
16 Center, and director of integrated laboratory
17 services for MedStar Health.

18 DR. HAUSNER: I am Richard Hausner. I
19 am a pathologist practicing in Houston, Texas.

20 MS. KRAFT: I am Cheryl Kraft,
21 administrative director of laboratory services,
22 Minneapolis.

23 DR. HELZLSOUER: I'm Kathy Helzlsouer,
24 medical oncologist and professor of epidemiology
25 at Johns Hopkins School of Public Health.

00010

1 DR. MINTZ: I am Paul Mintz. I direct
2 the clinical laboratories and blood bank at the
3 University of Virginia Health System, where I'm a
4 professor of pathology and medicine.

5 DR. FERGUSON: I would like to now turn
6 this over to Grant Bagley. Grant?

7 DR. BAGLEY: I'll just make a couple
8 introductory remarks and sort of bring everyone
9 up to speed about what we're doing and how the
10 process works.

11 The coverage process for Medicare is
12 one which from the very inception of the Medicare
13 program has been marked by local diversity and at
14 the same time, the ability to have national
15 conformity when the science and practice so
16 dictates. It has always been that way and it
17 continues to be that way today.

18 What we're about here is considering
19 issues for national coverage decisions. Very
20 much like the federalism model for everything
21 else, states or in this case Medicare carriers,
22 can have variable policies, but when the science,
23 when the issue is sufficiently justified, we can
24 develop a national coverage policy. That
25 national coverage policy then takes precedence,

00011

1 and all Medicare carriers in every state and
2 every area follow that same process.

3 So we are going to talk a little bit
4 about how Medicare coverage works and how we are
5 going to deal with it specifically in this issue.

6 What we're talking about is the Medicare
7 statute, and the Medicare statute has one
8 overarching principle, which is in the terms of a
9 bureaucrat, 1862.A.1(a) of the Social Security
10 Act, and this is what it says: That no payment
11 shall be made under Medicare for a service which
12 is not reasonable and necessary for the diagnosis
13 or treatment of an illness or injury. Those are
14 very important words. Reasonable and necessary,
15 diagnosis or treatment, and a disease or
16 illness.

17 Now, reasonable and necessary has never
18 been defined. We've never defined it explicitly
19 and said, this is what it takes to prove
20 reasonable and necessary. But over the years we
21 have articulated principles by which we say,
22 reasonable and necessary means the following
23 things: It doesn't mean safe and effective. It
24 has to be safe and effective to be reasonable and
25 necessary, to be sure, but it has to be a bit

00012

1 more.

2 So in terms of what we have required to
3 show something is reasonable and necessary, first
4 of all, if it requires a safe and effective
5 determination, and clearance for marketing by the
6 FDA, we've always considered that to be a first
7 step. And second, if it doesn't require
8 clearance for marketing by the FDA, we still make
9 an inquiry that it must be safe and effective.
10 But demonstrated effectiveness is one in which we
11 have said the benefits have to outweigh the
12 anticipated risks. It has to be FDA approved, if
13 required. And there has to be authoritative
14 evidence that it improves outcomes, because after
15 all, that's really what we're talking about.

16 So really, the difference between a

17 threshold issue of is it safe and effective and
18 can it be marketed is somewhat different, you
19 know, and we have to look at it a little bit
20 more. So it has to be safe, to be sure. Any
21 product, even a diagnostic test, has to be safe.
22 It has to be effective, that's clear. But it
23 also has to have benefit which is outweighed, or
24 at least outweighs the risk involved in even the
25 procedure or even a diagnostic test, because it's

00013

1 going to guide therapy.

2 But not only do the benefits have to
3 outweigh the risks, but there have to be some
4 kind of outcomes, there has to be some
5 improvement in clinical care. Is it an improved
6 outcome, does it give better treatment, does it
7 give better results, or in terms of the
8 diagnostic tests, does it give information which
9 can guide or improve therapy.

10 And finally, does it have value? Is
11 there any value to this procedure? For
12 diagnostic tests, it's an issue; for anything
13 else it can be an issue of does it improve
14 therapy, do we get not necessarily better
15 survival, but do we get improved quality of
16 life.

17 Well, how do we determine this? And
18 again, we've articulated these over the years and
19 said, you know, we have to look at clinical
20 studies and from these clinical studies, we have
21 to be able to make determinations. And so we
22 have to be able to look to available evidence and
23 say, are there fundamental safety questions?
24 Does a product live up to its claims? Does it
25 provide the clinical utility that we can use in

00014

1 practice, because after all it has to be,
2 remember the statute, reasonable and necessary.
3 And we look at the outcomes and do the clinical
4 studies to provide evidence that there is an
5 improved value from the service.

6 Of course we can look at it in a number
7 of ways. We can look at outcome measures in

8 terms of simply survival, that certainly is the
9 crudest measure we can use for an outcome. But
10 we can look at process changes, which may be
11 indirect, and we can say, how does it influence
12 the disease process, and can we make inferences
13 about value from that. And we can observe just
14 simply effects in terms of does it change a
15 measured process, does it change a physiologic
16 process. Is blood pressure improved? Do we have
17 a metabolic process change? Is cholesterol
18 lowered? And then can we relate those to an
19 outcome.

20 So even when we look at secondary end
21 points, when we are looking at a physiologic
22 measurement or metabolic change, can we make the
23 direct link to an improved outcome. And I think
24 it's going to be important to keep that in mind
25 as we look at intermediate end points.

00015

1 And in terms of looking at the science,
2 this has always been what we've used to determine
3 what's reasonable and necessary. You know, if we
4 look at information, we look at collections of
5 data, and we look at studies, is to keep in mind
6 that we have to consider the bias that can be
7 introduced, are patients selected in less than a
8 random fashion so that the outcomes might be, you
9 know, influenced by the way patients are
10 selected. Do we select patients for one group
11 and then do they become evaluated by another
12 method in terms of trials with more than one
13 arm. Do patients disappear after being entered
14 into the study, and if so, for what reason? Is
15 this going to affect it? Do we have some way of
16 having people evaluate the results of the study
17 without knowing what the outcomes should be or
18 are going to be? And is there an adequate way to
19 control for the information?

20 These are all things to keep in mind
21 when we're considering clinical data and clinical
22 study. Are they big enough? Are we measuring
23 something which is large enough that we can make
24 a determination? Whatever we've measure, have we

25 measured enough to say this is truly an effect?

00016

1 Do we have enough subjects in here? Do we have
2 enough patients to make that determination?

3 And within Medicare, we always consider
4 what we're dealing with. I mean certainly, some
5 diseases have a very high prevalence, they have a
6 large impact on the Medicare population, and in
7 those situations we need to have a great deal of
8 evidence to make a change. On the other hand,
9 some diseases are not highly prevalent, they deal
10 with just a smaller population of people, and in
11 those cases we have to look at the degree of
12 precision in the clinical studies in a somewhat
13 different way.

14 To consider the natural history of
15 diseases and the issues we're talking about
16 today, we have to consider what the uninfluenced
17 outcome would be in terms of what kind of a
18 difference does it make when we start to alter
19 things. And in looking at clinical studies, we
20 have to consider both the issue as presented and
21 we have to look at the source they came from.

22 I think I was on a panel with some
23 folks from Australia where they do a much -- they
24 have a much different process than we do in terms
25 of deciding their coverage in terms of looking at

00017

1 not only the science, but once they've looked at
2 the science, they then look second, and they say
3 now that we've decided we're going to cover
4 something based on the science, let's decide if
5 it's worth it, let's look at what it costs and
6 make that determination. And in doing that we
7 made an interesting point, which I think is
8 worthwhile to relate here, and that is that if
9 you're going to look at a survey, you know, if
10 you're out to buy a car and you're looking at a
11 survey, and you want to look at the report of all
12 the new options that are available in new cars,
13 that you're probably going to say it makes a
14 difference to me whether this is from an
15 independent consumer agency or whether this is a

16 report produced by the auto manufacturer. And
17 the same thing ought to be true when we look at
18 studies, when we look at clinical information.
19 We need to look at the source and say, not
20 necessarily that there is bias introduced, but we
21 need to look at full disclosure of the source of
22 information. We need to look at whether there is
23 real bias or whether there's apparent bias, and
24 that it's not really there, so we need to look at
25 the source of information.

00018

1 We also need to look at the credibility
2 of information. There is a hierarchy of evidence
3 which we need to consider, and that published
4 peer review literature is considered evaluated by
5 the larger community. It's considered to be of a
6 higher validity and we need to consider that. We
7 aren't always going to have that and we will have
8 to look at things which are not peer reviewed but
9 have been presented and published without peer
10 review. We're going to look at things that are
11 not even published in full form, so-called
12 abstract form, where they have been presented at
13 a meeting and an abstract is simply printed, and
14 we are going to look at unpublished data which
15 has been subjected to considerably less scrutiny.

16 So those are the kinds of things we're
17 going to look at in our evaluation of evidence
18 presentations today. You need to look at what
19 the information is showing you. You need to look
20 at what's happening, where it came from, and then
21 evaluate it based on its quality, the hierarchy
22 of the evidence and the source.

23 Now the process we're using, and it's
24 the process Medicare is now using for national
25 decisions, is very different from in the past.

00019

1 This is a part of that, this open public
2 committee meeting, and we put together an entire
3 new process which is now open. It's open because
4 this is a public meeting, and we're having a full
5 and frank public discussion on an issue. It's
6 quite defined in terms of its process. We bring

7 together technology issues and you'll find that
8 we aren't bringing together specific products or
9 specific tests, but we are bringing an area of
10 technology together and we're looking at a whole
11 area of technology, because we don't cover
12 products, we cover areas of technology. It's one
13 in which there's going to be full public
14 participation here, and we're going to make an
15 explicit decision based on the recommendations of
16 the panel. And I want to make it very clear:
17 This panel does not make coverage decisions.
18 This panel is for the purpose of giving us
19 technical advice so that HCFA itself can then
20 make an explicit decision. And not only will we
21 make a decision in a fair and proper fashion
22 after final panel recommendations, but that that
23 decision is subject to challenge, if we
24 significantly misinterpret the evidence or if we
25 fail to consider all the evidence. Of course

00020

1 this is a public process, so we expect all the
2 evidence to be here.

3 So in looking at the clinical trials
4 we're going to look at, we want you to focus on
5 looking for definitive answers, clinical utility,
6 does it improve outcome, is it appropriate, and
7 can we determine for which patients it should be
8 appropriate, so we can administer the Medicare
9 benefit. Is the source of the information
10 unbiased, is it free of conflict, and can we make
11 noncontroversial decisions? Because remember,
12 when I described the process, the very last step
13 of this whole process is subject to challenge
14 when we make a decision. And by this open
15 committee, this advisory process in which there
16 is full participation with the public, we would
17 expect to address all of these issues so that
18 there would be little basis for challenging any
19 decision.

20 Now, getting to the issue at hand, of
21 looking at sensitivity and resistance tests in
22 terms of oncology, just a little bit of history.
23 Medicare has looked at these in the past. We've

24 looked at them for quite a while. As long ago as
25 1980, the technology was around, we looked at

00021

1 these technologies, and at that time what HCFA
2 used to get internal advice was the physician
3 panel. The physician panel was a group of
4 physicians who worked for the Health Care
5 Financing Administration, and also physicians who
6 were in the Public Health Service, with other
7 agencies, that were brought together to look at
8 scientific issues and give the Agency scientific
9 advice. It was an internal predeliverative
10 advisory panel, something which I should say
11 also, is perfectly acceptable, even under the
12 Advisory Committee Act, because it predelivered
13 consideration by internal government employees.
14 This physician panel got together, looked at the
15 issue and requested a technology assessment at
16 that time. And that has been a number of years
17 ago.

18 It was then, as late as 1987, that same
19 physician panel met again. Based on the
20 technology assessment that was available at that
21 time that they reviewed, and they considered the
22 issue and felt that the use of tumor cells for
23 sensitivity determinations was still experimental
24 and that there was not enough information to
25 provide coverage at that time.

00022

1 In 1991, the issues were looked at
2 again, and at that time we were using, it was the
3 physician panel but it was now called the
4 technology advisory group, still composed of
5 internal government physicians. They were from a
6 little bit wider scope in terms of bringing folks
7 from the FDA, from AHCPH, from NIH, and they
8 discussed this at the same time, and they
9 discussed the issues around assays at the same
10 time, and agreed that the existing language which
11 we put in the coverage issues manual, which said
12 that this technology was that this technology was
13 at that time experimental, should be retained.

14 It was then in '97 that the technology

15 advisory committee, which was an outgrowth of the
16 same internal deliberative body, looked
17 specifically at extreme drug resistance testing
18 and considered whether or not extreme drug
19 resistance testing was in fact the same kind of
20 technology that was looked at before in terms of
21 sensitivity. Was it really the same thing, was
22 the technology the same, and was the utility the
23 same. And at that time the technology advisory
24 committee came to the conclusion that perhaps
25 extreme drug resistance testing was enough

00023

1 different of a methodology from sensitivity
2 testing, and that the clinical utility was enough
3 different that the coverage issues manual
4 exclusion of this technology, saying it was
5 experimental, that was put in over ten years
6 previously, perhaps didn't apply and that drug
7 resistance testing was enough of a different
8 technology that it could be left to carriers to
9 have discretion to cover that technology.

10 The current policy, then, is that human
11 tumor drug sensitivity assays are considered
12 experimental and therefore, not covered under
13 Medicare. That is a statement which leaves no
14 discretion for Medicare contractors, and that
15 statement is in force today.

16 Now it's interesting in that we made
17 the interpretation through the technology
18 advisory committee that drug resistance testing
19 was enough different from sensitivity assays that
20 it was not covered by this prohibition, and there
21 has continued to be confusion over that issue
22 over the past, you know, several years since this
23 was done. So that's what's currently in place.

24 In order to reevaluate our position in
25 that coverage issues manual statement, we have

00024

1 convened this panel and we are going to present
2 the following questions, and I will quickly go
3 over them, because these questions are going to
4 be focused on tomorrow and are going to be ones
5 that we are going to ask the panel to answer.

6 First, is the scientific evidence that
7 is amassed thus far, presented to the panel and
8 that's going to be discussed here today and
9 tomorrow morning sufficient that we can make the
10 appropriateness determinations about a coverage
11 utility and about what should happen in terms of
12 clinical care in using these tests?

13 Are the assay techniques described in
14 the literature for single drugs sufficiently
15 transportable to multidrug therapy, and there's
16 going to be a question presented about the
17 appropriateness of using single drug information
18 in terms of testing in multidrug regimens in
19 terms of treatment.

20 Does the scientific evidence
21 demonstrate the clinical benefit? This can be
22 very important, because there's going to be
23 information presented about different kinds of
24 tumors, hematologic tumors, solid tumors, and
25 being somewhat different in character, and should

00025

1 we be able to make determinations of clinical
2 care based on testing which are going to guide
3 therapy, because after all, remember, we talked
4 about clinical utility and value as being very
5 important things that we can draw from these
6 tests. So if we can't make the clinical utility
7 argument or if the value isn't there to be able
8 to directly influence therapy, then that's going
9 to be important to consider in terms of is it
10 reasonable and necessary.

11 If test results in terms of sensitivity
12 or resistance give us predictions about a tumor
13 response, should in fact those predictions guide
14 what happens in terms of direct therapy? Because
15 after all, one of the things we need to know,
16 which as I stated, we need to know not only
17 clinical utility but appropriateness, and we need
18 to be able to say when is it appropriate to do
19 these tests and what is the appropriate clinical
20 action once the test is done, because that's the
21 kind of information we need to be able to put
22 together a coverage policy.

23 Is there sufficient scientific evidence
24 to demonstrate the clinical utility in selecting
25 appropriate chemotherapy?

00026

1 And finally, the committee will be
2 given the opportunity to raise any additional
3 concerns.

4 So basically, these are the questions
5 that we're going to present to the panel. What
6 should we be looking at in terms of measuring
7 this technology? Should we be looking at
8 survival or should we be looking at intermediate
9 responses? Are there appropriate measures that
10 we can look at in terms of response to the tumor,
11 in terms of quality of life, in terms of other
12 intermediate outcomes, or should we be looking at
13 survival, and is one an appropriate surrogate
14 measure for the other. Is information on single
15 versus combination drug regimens relevant in
16 terms of using the results of the test in
17 clinical care?

18 Does the evidence that's presented that
19 we consider here over the next day and a half
20 demonstrate that there is in fact a clinical
21 benefit, not just interesting information, but is
22 there a clinical benefit which we can derive from
23 the use of this methodology? And if there is a
24 clinical benefit we can derive from this
25 methodology, should it in fact determine what the

00027

1 treatment should be in terms of particular
2 patient care.

3 And then finally, are there additional
4 concerns that the panel after a day and a half of
5 considering this technology wishes to bring to
6 the forefront?

7 So those are the issues we're going to
8 be talking about and that's a general overview of
9 the process HCFA uses and the kinds of
10 information and the level and hierarchy of
11 evidence that we wish to have considered over the
12 next few days, or day and a half. We're going to
13 present those questions tomorrow. There will be

14 a discussion of those questions, and we will be
15 asking the panel to vote specifically on those
16 answers and give us determinations which we can
17 then use in the form of recommendations to either
18 clarify, to ratify or to change the existing
19 policy which we have, which is noncoverage of
20 human tumor assay systems, and I think a somewhat
21 confused approach to drug resistance testing in
22 terms of that coverage issues manual
23 application.

24 So that's the charge to the committee.
25 There will be public presentations. There will

00028

1 be presentations from HCFA and from other
2 sources. I think we will all hear different
3 interpretations of information. There will be
4 full discussion, and we look forward to this
5 process giving us recommendations which we can
6 then use to modify or ratify our existing
7 policy.

8 MS. TILLMAN: Now Dr. Ferguson has a
9 few remarks to make.

10 DR. FERGUSON: Thank you. It's the job
11 of this panel to review the evidence and its
12 quality for this group of in vitro drug assays,
13 and arrive at some conclusions regarding the
14 appropriateness of these tests in treating cancer
15 patients. In an ideal world, the evidence would
16 dictate yes or no. Unfortunately in the real
17 world, we are likely to find something less,
18 certain conditions for select patients,
19 et cetera. Asking the research community to
20 consider patient outcomes in evaluating new
21 diagnostic tests seems to be setting the bar
22 higher for the quality of evidence than
23 previously. However, I believe that all of us
24 want the best possible outcomes for all the
25 patients we see, no matter where we sit.

00029

1 As we spend proportionally more money
2 on health care, we should try to achieve the best
3 possible outcomes for our patients, and this may
4 require setting the quality of evidence bar

5 higher than 10 or 20 years ago. The job of this
6 panel is to evaluate the data we are presented
7 with for these assays and to use this evidence to
8 answer the questions that HCFA has posed. It's a
9 bit of a conundrum for society, I think, only
10 paying for what works and yet not stifling
11 innovation in the process. Our job, this panel's
12 job is not easy, and we recognize that the
13 presenters don't have an easy task either,
14 especially given the short time to present their
15 work which has occurred over a number of years.

16 In the interest of time, I would like
17 to try to encourage all of the presenters to
18 stick as closely as possible to the outlines we
19 have, and I would like to get started with our
20 FDA. Kate, do you want to introduce Dr. Harvey?

21 MS. TILLMAN: Sure. Our first speaker
22 is Dr. Brian Harvey, who is the associate
23 director of the Division of Clinical Laboratory
24 Devices for the Food and Drug Administration.
25 Dr. Harvey?

00030

1 DR. HARVEY: Good morning. First of
2 all, I would like to thank the Health Care
3 Finance Administration for the invitation for us
4 to speak this morning, and I would like to
5 commend HCFA for moving towards an advisory panel
6 process, and we are glad at FDA to be a
7 participant in that process. What I would like
8 to do this morning, I am Brian Harvey, a senior
9 medical officer at Center for Devices and Office
10 of Device Evaluation, and currently acting
11 associate division director in clinical labs.
12 And what I wanted to do this morning is actually
13 talk about the FDA process.

14 Often when we hear about HCFA's role in
15 the evaluation of new technologies, we hear well,
16 if the advice is FDA approved then it can go on
17 to the HCFA process. And what I -- the major
18 points I really want to get across today is that
19 there are many roads to the U.S. market that
20 medical devices can go through. One size does
21 not fit all. And by actually going over the

22 various methods that medical devices can get to
23 the U.S. market, give a better understanding of
24 sort of the terms approve versus clear, exempt,
25 et cetera.

00031

1 As most of you know, the regulation of
2 medical devices in the United States really
3 didn't start until May 28th, 1976. There were
4 some medical devices that were regulated under
5 the drug law before that time, but the vast
6 majority of medical devices began to be regulated
7 with the medical device amendments to the Pure
8 Food and Drug Act, May 28th, 1976. The law
9 itself was sort of a hodgepodge of many different
10 concerns, which sort of reflect the great variety
11 which are medical devices, and as we go through
12 some of the aspects of the law, you'll see how
13 the fact that the majority of devices were not
14 regulated has really fed into the whole construct
15 of medical device regulation. I will touch upon
16 the Safe Medical Device Act in 1990 as well as
17 the more recent FDA Modernization Act of 1997,
18 which we all call FDAMA.

19 So once again, medical device
20 amendments, 1976, it was the outline which we
21 still use today stratifying medical devices in
22 classes. It's a risk based classification, class
23 one being those devices which are very low risk,
24 class two devices an intermediate or moderate
25 risk, and class three devices being the highest

00032

1 risk devices. There is actually a very long
2 definition of what a medical device is, I'm not a
3 lawyer, but I won't even spend the time reading
4 that. It's a full page long and in the interest
5 of time, the point being it is defined in law as
6 well as defined in terms such as safe and
7 effective.

8 The vast majority of medical devices in
9 the U.S. do go through something that's called a
10 510(k), which I will explain in a minute. That
11 aspect of the law was strengthened with the 1990
12 SMDA law. It required an indication for use

13 statement, so therefore in a specific part of the
14 application, the specific indication for use for
15 which the company, the sponsor wishes to get FDA
16 clearance was clearly stated. There was a
17 summary of safety and effectiveness in each
18 application, and FDA through the Freedom of
19 Information Act, was able to make that available
20 to the public to give an insight into what led to
21 different medical device decisions.

22 With the FDA Modernization Act, there
23 were actually several other aspects that were
24 clarified. The Center for Devices, a few years
25 before this act, actually instituted a

00033

1 reengineering effort and many of the aspects of
2 the FDA Modernization Act became codified in the
3 law through the FDA Modernization Act, trying to
4 increase the emphasis on post-market evaluation
5 of devices, but keeping an adequate premarket
6 evaluation, the whole concept of interactive
7 reviews, trying to increase communication between
8 the industry and the FDA. Greater inclusion, not
9 only in the public advisory panel but internal
10 meetings. Greater outreach to academic
11 societies. And there is also a section of the
12 FDA Modernization Act, Section 205, which many of
13 you have been hearing about in the news, which is
14 the least burdensome method to get to the U.S.
15 market.

16 And actually, I recommend that you all
17 go to the FDA website, which I will give later
18 on, to look at the draft guidance document on
19 least burdensome, because we still are in a
20 public comment period and we welcome your
21 comments. One of the things you will note is
22 that the current document says it does not apply
23 to IVDs, in vitro diagnostic devices, and one of
24 the clear aspects that we are getting in the
25 public comments is the importance of including

00034

1 IVDs in the process. And as part of my efforts
2 in the clinical laboratory division is to
3 incorporate a section for in vitro diagnostics in

4 the least burdensome framework since it's a very
5 important aspect of medical devices.

6 So what are the different roads to the
7 U.S. market? Well, starting in the beginning,
8 under the IDE, or investigational device
9 exemption, if something is considered a
10 significant risk through the local IRB, it then
11 comes to FDA for a review of the protocol. In
12 1995 an agreement was worked out between Health
13 Care Finance Administration and the FDA to try to
14 designate what was a truly experimental
15 investigational device and what was a more run of
16 the mill or traditional device that just was a
17 newer version. One of the aspects of medical
18 devices for those who are involved with drugs,
19 sometimes catches people off guard, is how
20 medical devices really are just sort of a
21 technology creep.

22 And let's say in pacemakers, the older
23 version through the newer version, very, very
24 minor changes require a new application. So you
25 may have a device that's very similar to the

00035

1 traditional device that's now in an
2 investigational device exemption study, and it
3 might not have gotten covered because due to a
4 new bell or whistle, it was not yet on the
5 market. So through the wisdom of an agreement
6 between HCFA and FDA, the decision was made,
7 there really should be a designation where FDA
8 says this is a way out very experimental device,
9 or this really is just a minor modification, in
10 order to meet the FDA requirements they are going
11 through an investigational device exemption.

12 But our recommendation is based on our
13 evaluation and it is a nonbinding recommendation,
14 that this should be considered for HCFA
15 reimbursement. So the A versus B designation, B
16 being that FDA feels that it should be considered
17 for reimbursement, and 80 to 90 percent of IDEs
18 actually have that B designation. So if
19 something is an established IDE, it gets this B
20 designation as something that could be considered

21 for reimbursement. So, the original idea in this
22 1976 law, the whole concept being is that there
23 was a number of medical devices that were on the
24 market; through the use in the market, they were
25 found to be safe and effective, and if that

00036

1 device was on the market before May 28th, 1976,
2 and a sponsor or company could come in and show
3 that their new device was substantially
4 equivalent to that old device, they could submit
5 a premarket notification, designate it 510(k)
6 based upon the law, that line of the law, and
7 they were able to get to market.

8 So they did not to establish de novo
9 safety and effectiveness, but through a
10 substantial equivalence flow chart, they are able
11 to show by direct comparisons, both clinically,
12 engineering, bench testing, et cetera, that these
13 devices are substantially equivalent. And what
14 we have actually found in a very positive way is
15 that there has been a technology creep and
16 improving of devices, although the older devices,
17 the newer devices were found to be substantially
18 equivalent to the older devices, when you
19 actually look over time, there is a gradual
20 improvement.

21 So it's been a way for the companies to
22 innovate. It is actually in the spirit of least
23 burdensome, long before that provision was
24 written, a way to get to market in a smaller
25 package not requiring advisory panel review, but

00037

1 with internal review for these devices to get to
2 market. And as part of the broader market,
3 traditionally class one 510(k)s were very small;
4 class two 510(k)s, depending on the type of
5 device, were either smaller or larger, depending
6 on whether or not there was needs for clinical
7 data. And then there were some class three
8 devices that were deemed to be 510(k)s. As part
9 of the more recent reengineering efforts and
10 recent laws, those have actually either been
11 converted to class three PMAs, which I'll talk

12 about in a minute, or have been down classified
13 to class two.

14 So in the broad scheme of things, the
15 way to think of it is, the vast majority of
16 devices on the U.S. market are class two 510(k)s,
17 and if you look at the numbers from fiscal year
18 1998, there are about 4600 class two 510(k)s
19 cleared for market, and the term is cleared for
20 510(k)s, versus approved for PMAs. 4600 510(k)s
21 compared to about 50 PMA applications that were
22 approved, and about 250 to 300 PMA supplements.
23 So you can see, the vast majority of medical
24 devices in the United States have actually been
25 cleared through the 510(k) process.

00038

1 So the PMA is the premarket approval
2 application. That's the one that people
3 traditionally think about when they think of an
4 FDA approval. It's based on valid scientific
5 evidence. Often an original PMA has to go to the
6 public advisory panel for their recommendation.
7 The valid scientific evidence is actually defined
8 in the law as well controlled investigation,
9 partially controlled studies, studies without
10 matched controls, well documented case histories,
11 reports of significant human experience. So you
12 can see some parallels between the FDA law and
13 the HCFA law that Dr. Bagley alluded to earlier.

14 So you can see, it's the whole gamut of
15 different sorts of both clinical and evidence.
16 Now in the original 1976 amendments there was
17 another route for class three devices to come to
18 market, and that was the PDP, or product
19 development protocol. And the thought was that
20 if there was something that required a clinical
21 trial, that the companies may want to have public
22 advisory input long before they got to the final
23 presentation that normally happens in the PMAs.
24 So what happens is that a company submits a PDP
25 protocol, in the PDP it must have the animal

00039

1 testing, the bench testing, as well as a proposal
2 for a clinical trial. That is then reviewed by

3 the FDA and taken to a closed session of an
4 advisory panel, since it's still proprietary
5 information, confidential information. The
6 advisory panel comments on the protocol design
7 and has input into that. As part of the
8 protocol, there are actually set end points that
9 have been designated for success criteria. So
10 obviously, it's to be used with those medical
11 devices that are well know as far as what to look
12 for as far as a success criteria. Then if it's
13 deemed approved by the panel and the FDA, the
14 sponsor or the company goes out and does the
15 protocol, and if they actually meet those success
16 criteria, the PDP is deemed approved and you do
17 not need to go back to the advisory panel for a
18 final approval. So once again, another path to
19 market, it's not a PMA, not a 510(k), but it's
20 equivalent to a PMA for a class three device.

21 Another aspect, another way to market
22 is the HUD or humanitarian use device. This is
23 the, HUD is analogous to the orphan drug part of
24 the drug law and actually, the initial
25 application to FDA for designation goes through

00040

1 orphan drugs at FDA in the Center for Drugs. And
2 the concept is that if there is a disease which
3 affects less than 4,000 people per year in the
4 United States and is not being adequately being
5 treated by any current medical device, then a
6 company can come in, and if they have been given
7 that designation by the orphan drug people at
8 FDA, then they can submit an HDE, as opposed to a
9 PMA or a PDP, for their class three device.
10 Safety definition in the law and in practicality
11 is the same as a PMA or PDP. However, instead of
12 establishing effectiveness, they only have to
13 show probable benefit. And the concept being, is
14 that there are fewer patients to study, the
15 benefit to this patient group far outweighs the
16 risks based upon the safety analysis, and the
17 review time is shorter, and these devices are
18 able to get out to the public.

19 So one of the things that the HCFA

20 advisory panel may be asked to comment on, not
21 only this panel but all of the panels, is this
22 whole area of HDE. So it is an FDA approval just
23 like a PMA or PDP for a class three device, but
24 the criteria are different. And once again, the
25 point being that there are these many ways to get

00041

1 to the U.S. market. And perhaps the best way to
2 describe it is not so much an FDA approval, but
3 has a certain medical device met the FDA
4 threshold?

5 So it gets us into specifically in
6 vitro diagnostics and there are sections of the
7 law and the regulations that deal specifically
8 with in vitro diagnostics, and I can see I'm
9 running late on time. Many of you are familiar
10 with all these labeling requirements, the whole
11 concept of reagents and instruments, how these
12 are all integral parts of in vitro diagnostics.
13 Laboratory tests, if something is done at a
14 specific laboratory, it's not exported anywhere
15 else, it's sort of the concept of a home brew,
16 the FDA has chosen not to regulate at this time
17 home brew assays. These are considered class one
18 exempt medical devices. Now if you had a home
19 brew which then was being exported, then there
20 may be parts of that which would be subject to
21 some of the various aspects of FDA regulation.

22 Just on a side note, the whole CLIA
23 effort, which is currently being run by CDC, the
24 decision has been made to transfer that to the
25 FDA, so this will therefore be the same

00042

1 regulation, and the FDA will also, for in vitro
2 devices, will also be doing a parallel clear
3 review. At this time there are no planned
4 changes in the criteria that CDC has been using,
5 but you will be hearing more of a clarification
6 on that aspect of the law.

7 So now the issue that was in the news
8 this past week, the analyte specific reagent, it
9 was an area that was sort of an internal SOP, a
10 standard operating procedure in the in vitro

11 diagnostic group, but the rule was formalized on
12 November 24th, 1998. The concept being is,
13 although you have these home brew assays, they
14 are only being done at one site, you wanted to
15 make sure that the various components of those
16 home brews met certain FDA criteria, the whole
17 concept being is that if you had an analyte
18 specific reagent, you wanted to make sure it met
19 certain good manufacturing levels. And as you
20 can see in the actual regulation, they talk about
21 antibodies, specific receptor proteins, nucleic
22 acid sequences. So you see a heavy emphasis here
23 on biological agents which for other, in other
24 contexts may actually be regulated at FDA through
25 the Center for Biologics. And there are various

00043

1 impacts on manufacturers on labeling through the
2 analyte specific reagent.

3 So to get to today's issue, initially
4 FDA was sent a letter from HCFA, and the inquiry
5 was, are there any medical devices that had been
6 FDA approved that fell under the scope of the
7 types of medical devices that we're going to be
8 discussing at today's meeting. And at a branch
9 level, the reviewers who were involved in this
10 area went through the database, and their initial
11 review was that there was nothing in the FDA
12 database. Because of that review at the branch
13 level, a letter was issued, from which many of
14 you have seen the letter, which actually
15 generated quite an industry response and actually
16 is part of the whole concept of least burdensome
17 and FDAMA and interactive process, this has
18 actually turned out to be a good thing.

19 From my point of view actually, this is
20 when I was brought into the process. I was not
21 directly involved with that initial review. But
22 what we did, based upon the overwhelming input
23 from the industry, it triggered an internal FDA
24 review of the issue, and it actually went up to
25 the level of the new center director, Dr. David

00044

1 Feigel, who took over for Bruce Burlington, and

2 it actually turned out to be a good thing,
3 because Dr. Feigel previously was at the Center
4 for Biologics, was very familiar with the various
5 aspects of what is covered under the analyte
6 specific reagent through his work in biologics,
7 and before that he was a division director in the
8 Center for Drugs. So we were very, very lucky to
9 have sort of a broad perspective of Dr. David
10 Feigel.

11 In addition, Linda Kahn, who was one of
12 her deputies at the center level, was involved,
13 and she was a lawyer by training, had spent a lot
14 of time up in chief counsel's office at FDA, and
15 her input in reviewing the actual regulation and
16 the spirit of the regulation came into play.

17 And I was also actively involved, and
18 my role was, I am board certified in internal
19 medicine, I still practice on evenings and
20 weekends, and before that I was a research
21 biochemist, so I sort of brought both a practical
22 clinical approach to the problem as well as a
23 traditional Ph.D. biochemistry approach. And
24 that with Dr. Gutman, who is the division
25 director, in looking at what was the spirit of

00045

1 the analyte specific reagent statute, and the
2 spirit was that although with home brews, they
3 are used at one site, we want to make sure that
4 good manufacturing practices have been used for
5 all the various components.

6 And in those home brews that use FDA
7 approved drugs, that really is not an issue. If
8 there is a drug which is a chemotherapeutic agent
9 that has been through the FDA approval process,
10 although not at the Center of Devices but the
11 Center for Drugs, they have met all the strict
12 criteria in manufacturing that are really
13 necessary. So really, when you look at the
14 spirit of the regulation, anything that contains
15 an FDA approved drug really does meet that spirit
16 of that.

17 So the official -- the follow-up letter
18 dated November 9th, did go through to say that

19 based upon further evaluation, the FDA not
20 believes that the drugs being used in these
21 assays fall outside the scope of the analyte
22 specific reagent rule and because these products
23 have been approved and are regulated by the
24 Center for Drug Evaluation and Research, there is
25 assurance that they have been produced in

00046

1 compliance with good manufacturing practices. We
2 have concluded that in-house home brew assays
3 prepared using these reagents do not need to meet
4 the requirement of the rule. And then it goes on
5 to say, however, we recommend that certain
6 labeling requirements be considered when these
7 are done.

8 Now as a caveat to that though,
9 however, if these are ever used in kit form, or
10 that kit could be sold and exported to may
11 different laboratories, then they may fall inside
12 the scope of a class three PMA or PDP, or
13 depending on the claims, a 510(k). But if it's
14 at a specific site and falls under the home brew
15 concept, then that's not something that requires
16 FDA direct review. So that's -- I just wanted to
17 go into those details.

18 So to summarize, and to get additional
19 information on all the different areas I talked
20 about today, there is a group called the small
21 manufacturers assistance, and you can be a large
22 or a small, you don't have to be small by
23 definition. They are a group of people who have
24 access to all sorts of information at FDA, and
25 now with the worldwide web, there are various

00047

1 parts of the FDA web site for the Center for
2 Devices that have guidance documents in all the
3 various aspects of which we talked about today.
4 We encourage you to go to that. If you have
5 specific questions, you can talk to the small
6 manufacturing people, and you're certainly always
7 welcome to call us at the clinical labs.

8 But to summarize, I think the best way
9 to consider the FDA process is that there are

10 various ways for devices to get to market. Just
11 to review, there's class one exempt, so
12 therefore, we never see them, but when we say
13 exempt from 510(k), we don't mean exempt from
14 good manufacturing processes. There are those
15 class one devices that have been reserved, and
16 they still do have to come to the FDA. Class two
17 510(k)s, we spent time talking about. And
18 finally, for class three devices, PMAs, PDPs,
19 HDEs.

20 So therefore, perhaps the best way to
21 talk about it is has the FDA threshold been met?
22 And then it's ultimately up to you all to look at
23 the evidence from there. Thank you again for
24 your invitation.

25 DR. FERGUSON: Thanks, Dr. Harvey. I'd

00048

1 like to go right ahead now with Mr. Kiesner, from
2 Oncotech. Are you ready?

3 MR. KIESNER: Yeah.

4 DR. FERGUSON: Since we are 15 minutes
5 later than the schedule says, I'm just going to
6 put things 15 minutes ahead, and take it out of
7 the lunch period at this point.

8 MR. KIESNER: Thank you very much. My
9 name is Frank Kiesner. I am president and CEO of
10 Oncotech, one of the companies that are in this
11 industry. I am here today to give more of an
12 overview of the industry and set the stage for
13 subsequent discussions which will focus on the
14 clinical utility and the clinical application of
15 these technologies.

16 Before I begin, I think it's important
17 to recognize that we are sharing in an historic
18 moment here. That this type of panel, this type
19 of open discussion of medical and patient issues
20 is just starting and that from the outside, we in
21 the industry have been able to witness the
22 gestation of this process, and I can honestly see
23 that what we are involved with today is a major
24 step forward and I think that the coverage and
25 analysis group should take credit for that.

00049

1 As Dr. Bagley was talking, I recalled a
2 town hall meeting that I attended about a year
3 and a half ago, where Dick Coyne and Dr. Bagley
4 proposed some structures. There were about 600
5 of us in the audience, and based on that meeting
6 if there is one thing I am absolutely certain of,
7 is the HCFA staff went through the legal,
8 political, the administrative issues relating to
9 this process. They definitely were not short of
10 free advice.

11 Secondly, I would like to comment just
12 briefly about the FDA issue. And you have all
13 read the letters going back and forth. We are
14 very pleased that this issue was resolved, and
15 with Oncotech, we live in a glass house. By that
16 I mean we every day have to deal with our own
17 issues and our own problems, and I would only
18 hope as we deal with these, that we have
19 ourselves the same sense of urgency, the same
20 decisiveness, and the same unfiltered honesty
21 that we have witnessed within the FDA over the
22 last three weeks, and I think it's a real credit
23 to their organization and to their management.
24 We are very pleased that the issue was resolved.

25 I have tremendous respect for the

00050

1 people that participate in this industry. They
2 are motivated by doing what is good for cancer
3 patients. I am going to share some numbers in
4 relation to the industry to try to get things
5 into a setting. The problem is while everybody
6 is willing to contribute their numbers to
7 industry numbers, there are antitrust issues and
8 problems with duplication of numbers, so what
9 we've chosen to do is just look at the Oncotech
10 numbers, but recognize that the work of others in
11 the industry would probably increase the numbers
12 I am going to show about 25 or 30 percent.

13 In terms of drug resistance testing
14 over the last several years, over 55,000 cancer
15 patients have been tested. If you look just
16 during the last year, or year and a half, we have
17 received tissue samples for testing from over a

18 thousand hospitals throughout the United States,
19 we have reported results to over 2600 physicians,
20 and we have tested 60 different tumor types. The
21 technology is being used in the medical
22 community.

23 Where are we in terms of payor
24 acceptance? The story really began in 1994 when
25 Blue Shield of California had a panel meeting

00051

1 just very similar to this, open discussion,
2 presentations from those in the industry, and a
3 good solid dialog of the science. What they
4 concluded in 1994 was that drug resistance
5 testing in oncology is accurate and reliable and
6 there is sufficient data to determine their
7 safety, clinical utility and impact on clinical
8 decision making.

9 Where have we gone from there? If you
10 look at current payor acceptance, in terms of
11 payor contracts, we have with different managed
12 care entities, 31 million lives under contract as
13 far as payment for drug resistance testing. We
14 have a contract relating to the pricing that
15 involves 2300 hospitals around the country. In
16 terms of not the contract, but in terms of what
17 our payment experience has been for this type of
18 service, in terms of non-Medicare carriers, the
19 managed care and the third party or the
20 indemnities, in the last year and a half, we have
21 probably billed about 17 to 1800 different
22 entities. 1600 of those have paid for the EDR.
23 And I don't want to imply that they've paid
24 everything that we've billed, but they have paid
25 for, they have paid some amount for EDR.

00052

1 The second thing is that in relation to
2 the question of medical necessity or
3 investigational denials, less than one percent
4 have been written off for this reason. Now what
5 I mean by written off is very important, and it's
6 not that questions haven't been raised. At any
7 given point in time, our finance department would
8 be dealing with 25 to 50 different carriers, and

9 we would have to deal with the question of
10 medical necessity or investigational status.
11 What that number indicates is that after we go
12 through that process, that less than one percent
13 are actually written off on that basis. So I
14 want to be very clear on that.

15 How does that contrast with the
16 Medicare experience? Basically, all of our
17 claims have been denied on a local coverage
18 basis, as the technology being investigational.
19 But that's the purpose of this meeting; we are
20 looking at developing a national policy that will
21 be able to integrate all of the information that
22 is current, into a rational approach to this
23 group of technology.

24 Dr. Bagley alluded to the carrier
25 issues manual, the national coverage policy,

00053

1 5041. It was originally enacted in relation to a
2 human tumor stem cell assay. It was 1970s
3 technology. There were technical problems with
4 it. Basically, it was used only in a research
5 setting and for the last 15 years has not been
6 used clinically. It was a very important
7 technology though, and it was important because
8 it highlighted some of the issues involved with
9 the testing of cancer on an in vitro basis. And
10 it was a major step forward because the people
11 that were involved in that technology learned
12 from it and went into a second generation
13 technology, and ultimately to the technology
14 we're using today, which is a third generation
15 technology. So the point is that there has been
16 an evolution in technology, there's been a
17 learning process and a growth, and I would just
18 urge that we look at what is available today and
19 what is the science to support what's available
20 today. It's not something that was in existence
21 in 1982.

22 A recommendation is that this provision
23 5041 should be removed. It was the right thing
24 to do at that time, there is no doubt about that,
25 but it's outdated, it doesn't apply to what's

00054

1 being done today, and in the kindest terms, we
2 feel that it may be confusing to local carriers.

3 In terms of standards, the point that
4 we would like to make is that drug resistance, in
5 vitro drug response testing is a laboratory
6 test. We are not marketing a product like a drug
7 that goes into the human body and affects both
8 normal cells and malignant cells. We are dealing
9 with information, and the criteria by which in
10 vitro drug response tests should be measured are
11 the same criteria against which other diagnostic
12 tests should be measured.

13 There is a question four, which relates
14 to, should payment be dictated by the results of
15 drug resistance testing? How we answer this is
16 to look at what happens in the real world. And
17 we're dealing with information with a diagnostic
18 test. The fact is that this information is only
19 one of many pieces of information which a
20 physician at the bedside has to integrate
21 together to determine what is in the best
22 interest of this patient. And it's laboratory
23 information, it's clinical information, and it's
24 a multitude of human factors, all determined at
25 the site, that should determine the applicability

00055

1 of this technology. In that case, we don't think
2 that drug resistance information should replace
3 clinical trials, it should only supplement it.
4 We don't think that it should dictate treatment,
5 but it should be one of several factors that are
6 integrated into the treatment decision.

7 And finally, we feel that it should not
8 be used to dictate payment. I can't think of any
9 single issue that would arouse or marshal
10 together the opposition of the oncology community
11 than the thought that a test is going to
12 determine what they have to do at the bedside,
13 singly and in and of itself.

14 So that brings us to the main focus of
15 the meeting today, and that is the fundamental
16 question: Can you take malignant cells from a

17 patient into an in vitro environment, test them
18 in a controlled laboratory assay, identify either
19 resistance or sensitivity, and then translate
20 that into usable information that can be helpful
21 to the clinician when he is at the bedside.
22 That's the fundamental question. And in order to
23 help answer that question, you will find today
24 that there are a number of leading physicians and
25 scientists here to give you their thoughts, their

00056

1 views and their interpretation. They will focus
2 on evidence, clinical application and they will
3 focus on the patient benefit. When you're
4 listening to these individuals, recognize that
5 without exception, they have spent 20 to 25 years
6 of their lives dealing with these technologies.
7 They bring a unique perspective.

8 They just don't know a technology; they
9 know an evolution of multiple technologies. In
10 terms of the literature, they don't know an
11 article; they have read and studied and been able
12 to integrate all of the articles together and
13 created a body of knowledge. And finally, the
14 one thing that should be evident is that the
15 people that are involved in this industry are not
16 just scientists developing a laboratory test;
17 they are clinicians. And they have a perspective
18 to see how you can take laboratory data, input
19 clinical decisions, and over a long period of
20 time they have witnessed the patient benefit.

21 Thank you very much.

22 DR. FERGUSON: Thank you. I guess you
23 have organized this session, so the next
24 speaker?

25 MR. KIESNER: Dr. Weisenthal.

00057

1 DR. WEISENTHAL: Before I get
2 started --

3 MS. TILLMAN: Dr. Weisenthal, excuse me
4 just a moment. We request that all the speakers
5 that are going to come up just make a statement
6 as to whether you're here on your own behalf or
7 who is sponsoring your trip.

8 DR. WEISENTHAL: I am here on my own
9 behalf, I bought my own plane ticket and am
10 paying for my own plane ticket. Can I ask, is
11 Mr. Randy Stein here? Mr. Randy Stein? I didn't
12 see Randy. He was a patient who was going to
13 follow me. Is Dr. William Grace here?
14 Dr. Grace, hi.

15 DR. GRACE: Good to see you.

16 DR. WEISENTHAL: Frank mentioned some
17 of us having 25 years experience in this field.
18 My experience began in the year 1969 when I
19 started doing cell culture drug resistance
20 testing on human tumor specimens while a graduate
21 student at the University of Michigan. My career
22 really began in earnest when I started doing this
23 in the fall of 1978 while I was a clinical
24 associate in the medicine branch at the National
25 Cancer Institute. And ever since July 1st, 1979,

00058

1 this has really been my full-time job.

2 For the first eight years, between 1979
3 and 1987, I did this on a research basis as an
4 associate professor at the University of
5 California, Irvine. Since 1987 100 percent of my
6 time, full time has been spent providing this as
7 a service to patients and physicians in the
8 community. I have about 25 minutes to discuss my
9 life's work. That's not a lot of time, and
10 there's so much, you know, that could be said,
11 and should be said. I will just have to try to
12 do the best I can, I guess.

13 In the beginning, though, I wanted to
14 put everything in context, and you're going to
15 hear from the following speakers admonitions
16 about scientific rigor and levels of evidence and
17 things like this. I think that you have to put
18 this in context. Mr. Kiesner mentioned that we
19 are talking about a laboratory test. We're not
20 talking about a treatment, we're talking about a
21 laboratory test. If you look at analogous
22 laboratory tests such as bacterial culture and
23 sensitivity testing, there is much less direct
24 data indicating correlations between the

25 laboratory tests and the clinical response, and

00059

1 there certainly is a lack of data indicating that
2 it makes an impact on patient care, whether you
3 use the test or not.

4 After 20 years in medical oncology,
5 there's still a debate, should you treat with
6 empiric antibiotic therapy or should you really
7 go to great lengths to try to identify the
8 organism and do sensitivity studies. More than
9 half of the chemotherapy that's given in this
10 country is given for non-FDA approved
11 indications. These are off label indications.
12 In many cases of situations in which Medicare
13 routinely pays for therapy, all that can be
14 pointed to is one or two small pilot studies. In
15 many cases, many oncologists choose drugs on the
16 basis of an abstract that they heard at the
17 American Society of Clinical Oncology.

18 Two weeks ago I talked to Dr. Robert
19 Livingston, who is a professor at the University
20 of Washington, and probably one of the top five
21 experts in the world on chemotherapy and lung
22 cancer. He's very active in the Southwest
23 Oncology Group. We have an explosion of cancer
24 drugs that have been approved in the last five to
25 ten years. We've got Docetaxel, vinorelbine,

00060

1 Gemcitabine, Irinotecan, et cetera. I dare say
2 that the most common regimens used to treat
3 patients today are regimens made up of newer
4 drugs. Carboplatin plus Taxol; Docetaxel plus
5 Carboplatin; Gemcitabine; vinorelbine platin, and
6 so forth. According to Dr. Livingston, firstly,
7 there's no data that none of these are any better
8 than platinum Etoposide, two drugs which are both
9 off patent, much cheaper, outpatient therapy, and
10 personally in his own opinion, there isn't. You
11 know, he doesn't believe that regimens like
12 platinum Taxol are superior to platinum
13 etoposide.

14 And yet, this is the reality today, and
15 that is that the vast majority of individual

16 patients are being treated with treatments that
17 have never been approved by the FDA and are based
18 on levels of evidence that are very preliminary,
19 and that is a fact. And I would like you to keep
20 that in mind when you are looking at the levels
21 of evidence that I am going to be presenting here
22 in the following speakers. I am going to turn
23 this on; okay. I suppose, if I talk loudly, can
24 I go off? I've got to talk on the mike? Too
25 bad.

00061

1 I want to tell you a little bit about
2 the technologies. What we have done here is,
3 this is a Petri dish with some liquid media and
4 this was a patient's stomach cancer. And this
5 has been chopped with scissors into small little
6 pieces about a half a millimeter to a
7 millimeter. Now there are lots of different
8 technologies, but I'm going to try to show you
9 that the technologies have a lot more in common
10 than they have that separate them.

11 One of the differences between the
12 technologies is that some investigators will stop
13 at this point. They will cut the specimen into
14 pieces a half millimeter or so, and they will
15 plate that in plastic dishes with liquid media,
16 and expose them to drugs and then determine drug
17 effect. In other cases, patients will take --
18 investigators or laboratories will take this and
19 pass it through wire mesh screens to give you
20 smaller pieces. And finally, what most
21 laboratories do, is they take the fine pieces and
22 they further digest them with collagenase to
23 break down the tissue matrix to liberate small
24 clusters of tumors.

25 Now when you do that, and what we've

00062

1 done here is that we've taken the stomach cancer,
2 the same one I showed you on the slide
3 previously, and it's been ingested with
4 collagenase, and we've spun this down on a
5 cytopsin slide, and we've stained it with a stain
6 that stains dead tissue and dead cells green, and

7 living tissue pink. And you can see here,
8 clusters of pink tumor cells amid a background
9 debris of dead tissue, and there will be single
10 inflammatory cells such as macrophages. Well,
11 applying various methods, you can get very nice
12 enrichment of, you can get rid of all the chaff
13 and get down to the wheat, and what you're left
14 with is microclusters. So one difference between
15 technologies is that some use what I call
16 macroclusters, that is, visible tumor pieces,
17 others digest them down to smaller quantities to
18 give you microclusters.

19 This explains why sometimes the drug
20 concentrations used in the assays are a little
21 bit different. If you've got a large piece of
22 tumor, the drug doesn't penetrate into it very
23 well. And assays that use little pieces of
24 tumors tend to use higher drug concentrations
25 than if you break it down to the smaller cluster

00063

1 level. So -- but in both cases, you're dealing
2 with a similar situation; you're dealing with a
3 tumor and you're testing it in a three
4 dimensional form. And this is very important.
5 So we're testing three dimensional microclusters
6 of cells, other laboratories might test three
7 dimensional macroclusters.

8 This is the same tumor now, the stomach
9 cancer, and it has been cultured for four days in
10 the absence of any drugs. This would be a normal
11 saline control. And this is a drug which was
12 only partially effective, so you've got some
13 reduction in the number of cells. Again, some of
14 the dead cells stained green rather than staining
15 pink.

16 A somewhat more effective drug is this
17 one, and now it has mostly been killed, and you
18 only have a few small clusters of viable tumors
19 left. And a drug that killed everything would
20 give you this, so you'd get the absence of the
21 pink clusters. And the way that this particular
22 end point is scored is manually. Yesterday -- I
23 had to take the red eye last night, because

24 yesterday I spent ten hours counting laboratory
25 assays. It takes me about three hours of my own

00064

1 time to do each and every one of our assays.

2 It's -- I consider the morphologic end
3 point that I just showed you really the gold
4 standard. I like the standard. I like to see
5 the tumor cells on the slide. I like to know
6 whether the drug has worked or not. This
7 technique, though, has some drawbacks. First of
8 all, it takes a lot of time. There aren't very
9 many board certified medical oncologists that are
10 willing to spend three hours looking through a
11 microscope on an individual assay. It's also
12 subjective.

13 Now, that led investigators to try to
14 come up with easier end points, end points that
15 were not subjective and were automated. So what
16 we're talking about here, if you go back two
17 slides, here we are looking at living cell and
18 then with drugs, either cell death assays, and
19 the main assays that I'm going to be talking
20 about here are cell death assays. Later on,
21 Dr. Fruehauf and Dr. Kern are going to talk about
22 cell proliferation assays. But I think you can
23 take all assays and kind of divide them down the
24 middle, it's kind of like the animal kingdom and
25 the plant kingdom, but you've got assays based on

00065

1 the cell proliferation end point, assays based on
2 the cell death end point, and I am going to talk
3 about the cell death assays.

4 Now there's many ways of detecting the
5 death of a tumor cell. This should not be of
6 concern to you. As a clinician, there are many
7 ways of detecting the death of a patient. You
8 can go up and feel for the carotid pulse or the
9 radial pulse. You can put your stethoscope on
10 the chest and auscultate for heart sounds, you can
11 observe for spontaneous respirations. You can
12 see if the pupils fixed and dilated. You can
13 take an electroencephalogram, you can measure
14 core body temperature. All of these are methods

15 for determining, is the patient living or dead.

16 Likewise, at the cellular level, there
17 are many ways of determining is the cell living
18 or dead. There is more than one way to skin a
19 cat. So for example, you can look at the
20 morphology of the cell and say has it been
21 killed, has it undergone apoptotic death. You
22 can say, has it lost its ATP. When cells lose
23 their viability, they lose their ATP very
24 rapidly. When cells die, they lose their Krebs
25 cycle reductase activity. So there's one of

00066

1 these assays, the MTT assay, that measures the
2 Krebs cycle enzyme, so when the cell dies, it
3 loses that enzyme activity. And then there's
4 another assay called the fluorescein microculture
5 assay or the fluorescent cytoprin assay, both are
6 really the same thing, and what they're doing
7 there is measuring the membrane integrity with a
8 dye called fluorescein, which is cleaved by
9 membrane esterase and gets trapped in the cell if
10 it has an intact membrane. But the point is
11 here, there are many different ways of
12 determining cell death, just -- there are other
13 ways of determining other things too.

14 Estrogen receptor. Most of the
15 literature which validates the estrogen receptor
16 was based on wet lab assay procedures, but that's
17 been replaced as you know with
18 immunohistochemistry, and at the beginning there
19 really weren't any clinical correlations, but
20 they showed that basically the
21 immunohistochemistry correlated with the wet lab
22 procedures. But these are all methods for
23 detecting cell death.

24 Now, these assays -- that's important,
25 because the assays are very difficult to do in

00067

1 the sense that, I mean, actually generating the
2 data that's going to be presented is an enormous
3 amount of work, and I would love it if I had
4 myself done large numbers of prospective
5 randomized trials in huge numbers of patients, to

6 show beyond the shadow of a doubt that patients
7 did better when treated on assay results.
8 Goodness knows, I tried, and I and several other
9 people made major efforts. I won't give you the
10 anecdotes of the various trials that never got
11 underway, or got underway and were well funded
12 but didn't accrue patients and so forth.

13 But suffice it to say, this is
14 difficult work; if it wasn't difficult work,
15 after 20 years of full time in it with people
16 like me and Dr. Bosanquet and Dr. Kern, and
17 others, Dr. Salmon, Dr. Von Hoff, who is
18 certainly one of the most energetic organizers of
19 clinical trials, even he was unable to
20 successfully complete a single study. So this is
21 very difficult, so it's important to look at all
22 of the evidence. So that's why I am going to try
23 to make a point that you need to lump together
24 these various cell death end points.

25 Basically the assays are done in the

00068

1 same fashion. You take the tumor, you culture it
2 for four to five days; you expose it to drug, and
3 then you determine, are the cells living or
4 dead. And the fact that there is different ways
5 of determining is the cell living or dead is not
6 of importance.

7 This is another assay here. This is
8 the MTT assay, and this is based on mitochondrial
9 succinate dehydrogenase activity. Living tumor
10 cells will produce a lot of pink reagent and if
11 they have been killed they don't produce that
12 reagent, so this is a positive control. These
13 are ineffective drugs, this is a single effective
14 drug.

15 And what we do is since there's
16 advantages -- the advantages of the DiSC assay,
17 which is the microscope assay is that to me, it's
18 the gold standard. You're actually looking at
19 the tumor, you're seeing whether the drugs really
20 work. The disadvantage is that it's a subjective
21 test and it's labor intensive.

22 The advantage to the MTT assay is that

23 it's objective, you get a nice machine readout,
24 but it's not specific for tumor cells. If you
25 have some normal cells in there, it can skew the

00069

1 results, so it's very important that you take a
2 lot of efforts to make sure that you've got a
3 population of cells.

4 In practice, we do both end points.

5 These cells here, we've had some fast green dye
6 added to them, they're going to be spun down on
7 cytopsin slides. These are the same drugs tested
8 in the MTT assay. So we run all these assays in
9 parallel; we always do an MTT and a DiSC,
10 microscope assay, and by doing that, I think I've
11 got a good handle on what's happening.

12 Now, these end points correlate very
13 well together. This allows us to lump together
14 the results for analysis. This shows 775 solid
15 tumor specimens tested to Cisplatin, and on the Y
16 axis is the MTT assay result, on the X axis is
17 the DiSC assay result, and you can see that in
18 cases where we've got pure tumor preparations,
19 there's a very good correlation between the two
20 end points.

21 There have been many papers published
22 in the literature. These are just -- I know it's
23 difficult to read, but these are papers comparing
24 the two end points, DiSC and MTT, fluorescein
25 diacetate and DiSC, MTT and fluorescein

00070

1 diacetate, DiSC and ATP, and all of these end
2 points for cell death, not surprisingly,
3 correlate with each other very well.

4 How is this information used in the
5 real world? Well, what is done is this, and that
6 is that in the beginning, 20 years ago people had
7 the idea that what they were trying to create was
8 a scale model of chemotherapy in the laboratory.
9 And so they tried to use what are known as
10 clinically achievable drug concentrations. And
11 Dr. Alberts, who's a speaker here, is a real
12 pioneer there, and Dave did a lot of work in the
13 late '70s figuring out exactly what the

14 clinically achievable levels of different drugs
15 were, and he created some tables that I and other
16 investigators used initially.

17 I will tell you, though, that if you
18 read the literature today, that's not what people
19 do. Here's what they do, and that is that you
20 get a drug and you do some training set studies,
21 but you try to find the concentration that gives
22 you the widest scatter of results. So on this
23 slide here, what I'm showing is a thousand
24 randomly selected fresh tumor MTT assays for
25 Cisplatin. And this is percent of control cell

00071

1 survival. 100 percent cell survival means the
2 drug didn't work, the cells are all alive; zero
3 percent cell survival means the drug did work,
4 the cells are all dead. And what you can see is
5 that in a thousand randomly selected solid tumor
6 assays, there is a widespread scatter of
7 results.

8 So in fact, you try to choose the drug
9 concentration which gives you the greatest
10 standard deviation. You choose a concentration
11 with an index concentration which gives you the
12 greatest scatter. You can then draw the line
13 down the middle for analysis. And operationally
14 you say if the cells are killed in the culture
15 dish, that's resist -- they're sensitive to the
16 drug. If they are not killed, they are resistant
17 to the drug.

18 Now in practice, you can see that
19 there's a lot of grouping around the middle, so
20 obviously what we do if they are around the
21 middle, that is, if they are plus or minus a half
22 standard deviation from the median, we just say
23 it's in the median and we really can't tell you
24 anything about it, about it. But if it's down
25 here, it's clearly sensitive; if it's up here,

00072

1 it's clearly resistant.

2 Now 20 years ago when we first started
3 doing this, we formulated a hypothesis, and our
4 hypothesis was that if you used this method and

5 you obtained a broad scatter of results, that on
6 average, patients with resistant assays would do
7 worse than patients with sensitive assays. That
8 was the hypothesis. And that is really what I
9 call the central hypothesis to all of this
10 testing, and that is, the central hypothesis is
11 the drugs testing in the sensitive range will be
12 more likely to work than drugs testing in the
13 resistant range. 20 years ago, just a
14 hypothesis. What did the data show?

15 Well, in the 20 years since then, there
16 have been many papers published, now in excess of
17 40 papers showing correlations with cell death
18 assays and results of chemotherapy in the
19 patient. For purposes of this slide, I have
20 arranged them in order of increasing response
21 rates in the overall patient population, so this
22 white dashed line shows the response rates in a
23 given study for all the patients in the study.
24 Each of the vertical lines represents a different
25 study. So in this slide, I think I'm showing, if

00073

1 I can read it, 36 studies, or 35 studies,
2 totaling 1603 patients. But what you can see is
3 that rating from low response rate tumors to high
4 response rate tumors, and this would be something
5 like previously treated phalangeal carcinoma, and
6 this would be acute lymphoblastic leukemia, but
7 in 35 out of 35 studies, in every single case the
8 hypothesis has been confirmed. In fact, patients
9 who are sensitive in the assay do better than the
10 group as a whole. Patients that are resistant in
11 the assay do worse than the group as a whole.
12 And patients that are sensitive in the assay do
13 dramatically better than patients that are
14 resistant in the assay.

15 So in other words, this assay is an
16 excellent prognostic factor for prognosis if
17 treated with chemotherapy. If you are treated
18 with chemotherapy and the test is in the
19 sensitive range, you do better than average. If
20 you're treated with the drugs in the resistant
21 range, you're worse than average. In solid

22 tumors, the advantage to getting an assay
23 sensitive drug over an assay resistant drug is a
24 nine to one advantage, patients are nine times
25 more likely to benefit if they're sensitive in

00074

1 the assay than if they're resistant.

2 People say that this field is
3 controversial. This is not controversial. These
4 data are unchallenged. There has never been a
5 single study of these technologies in modern
6 history which has failed to show this. If you
7 break it down by tumor types, and here I'm sorry,
8 I can't read it, my contacts -- I took the red
9 eye last night and my contacts are a little bit
10 not clean, but what I have done here is broken
11 this down by disease type and it includes things,
12 stomach cancer, breast cancer, ovarian cancer,
13 non-small cell lung cancer, multiple myeloma,
14 chronic lymphocytic leukemia, acute
15 nonlymphocytic leukemia, acute lymphoblastic
16 leukemia, and so forth and so on. But again, if
17 you break this down by tumor types, patients that
18 have sensitive assays do better, patients that
19 have resistant assays do worse.

20 So hypothesis I would put to you is not
21 an extraordinary hypothesis, it's a very ordinary
22 hypothesis, and yet, this is an extraordinary
23 level of proof. The hypothesis holds. These
24 data are unchallenged. No one has ever shown
25 anything to the contrary.

00075

1 You can use the technique that was
2 described in the New England Journal of Medicine
3 a few years back, of cumulative Meta analysis,
4 and I don't have time to explain this, it's in my
5 handout, but basically when you do this, these
6 are 95 percent confidence limits, and what you
7 see is that if you had a P of 10 to the minus
8 eighth, patients that are sensitive in the assay
9 do better than the group as a whole. At P 10 to
10 the minus eighth patients that are resistant in
11 the assay do worse than the group as a whole, and
12 these are again, thoroughly consistent.

13 Now receiver operator curve plots and
14 Bayes' Theorem. Receiver operator curve plots
15 are, receiver operator plots are used as an
16 assessment of laboratory tests. To generate
17 receiver operator plots, one needs to know how
18 changing the cutoff lines affects sensitivity and
19 specificity. However, with the literature
20 validating cell culture drug resistance testing,
21 what are available instead is the sensitivity and
22 specificity of a single cutoff line, which is
23 around the median. And also, the test accuracy
24 at different pretest response probabilities. The
25 broad applicability of test results to different

00076

1 disease states may be evaluated by comparing
2 calculated base predictions to actual
3 observations. This is described in detail in my
4 handout, if I go a little rapidly.

5 Most studies do not show this type of
6 data. This is a single study by Wilbur in
7 non-small cell lung cancers published some years
8 back, but basically it was showing that when you
9 change the cutoff of the assay from 90 percent
10 survival to 80 percent, 70 percent, 60 percent,
11 what happens is the actual sensitivity and
12 specificity of the assay changes, as you would
13 expect, but in all cases, people with sensitive
14 assays are more likely to respond than patients
15 with resistant assays. So this is -- these are
16 not an artifact of just drawing, you know,
17 picking a cutoff.

18 By applying Bayes' Theorem, you can
19 generate the following theoretical curve. These
20 tests in aggregate, if you add up all the 2,000
21 or so clinical correlations that have been
22 published, they have an overall specificity for
23 drug resistance of .92, an overall sensitivity
24 for drug resistance of .72. And if you do that,
25 you get these sorts of predictions, and this

00077

1 shows the relationship between pretest response
2 probability, expected response probability, and
3 then response probability given a different test

4 result. So the blue line shows what it would be
5 predicted for patients with a sensitive assay.

6 So in other words, let's take colon
7 cancer as an example. Untreated colon cancer's
8 got a 20 percent chance of responding to 5 FU.
9 If it's assay sensitive, the prediction says that
10 the patient has a 40 percent chance of
11 responding. If it's resistant, it goes down to
12 about 2 percent. Contrary-wise, if you're
13 dealing with untreated ovarian cancer, which has
14 a 75 percent response rate, if you're sensitive
15 in the assay, it goes up close to 90 percent and
16 if you're resistant, it falls down to about 15 to
17 18 percent. So those are just the theoretical
18 predictions.

19 How about if you break this down by
20 individual types of tumors? And I think that
21 these data compelling showed that these assays
22 are broadly applicable for really all types of
23 tumors in which they've been studied, both solid
24 tumors and hematologics, ranging from stomach
25 cancer, colon cancer, non-small cell lung cancer,

00078

1 ovarian cancer, breast cancer, chronic
2 lymphocytic leukemia, acute lymphoblastic
3 leukemia, acute nonlymphocytic leukemia, in all
4 cases it holds exactly according to base
5 predictions.

6 There are many correlations published
7 in the literature about patient survival, and
8 these are the patients that, survival of patients
9 sensitive in the assay, survival resistant in the
10 assay. I give references in my handout, and
11 these will be discussed by other speakers.

12 Now I have to, I'm already bumping up
13 against my time limit, but I have to discuss a
14 group of papers which are very, very important,
15 because you as panelists have probably spent the
16 most attention to these, because these were
17 studies done at the National Cancer Institute,
18 published in prestigious journals, and so
19 naturally you think that these are really quite
20 important papers. There was a review by Cortazar

21 and Johnson in The Journal of Clinical Oncology.
22 What this review showed was that there were three
23 non-randomized small studies which showed
24 nonsignificant inferior survival with assay
25 directed therapy, compared to control therapy.

00079

1 Again, these were non-randomized studies and the
2 results were nonsignificant but still, three of
3 them showed, suggested slightly inferior survival
4 with assay directed therapy compared to control
5 therapy. What's important for you as panelists
6 to realize is that one none of these studies, not
7 a single one, evaluated the fresh tumor assays
8 which are used in the real world and have been
9 used for the past 12 years, and which are now
10 being considered for reimbursement. This whole
11 paper is utterly irrelevant because it does not
12 review the technologies that are under
13 consideration here.

14 Specifically, I want to take you
15 through the three NCI studies. The NCI did a
16 study in non-small cell lung cancer, they did a
17 study in extensive disease small cell lung cancer
18 and limited disease small cell lung cancer. In
19 general, the non-small cell study was highly
20 negative, highly negative. There's no one that
21 could read that paper that could possibly
22 conclude that this particular assay was of any
23 utility whatsoever. It's a totally negative
24 study. The extensive disease small cell study
25 was modestly positive. The limited disease study

00080

1 was highly positive, and I'd like to tell you why
2 that is.

3 First of all, the limited non-small
4 cell study. This is probably the most important
5 one for you to consider; this gets quoted the
6 most. In 1994, when I presented this at
7 California Blue Shield, I had to spend half of my
8 time debunking this one paper, because somebody
9 at the University of California San Francisco
10 brought it up. For the past seven years I've had
11 people come up to me over and over and they say,

12 well, they tried that at the NCI, it didn't work,
13 they're the mecca of meccas, if they couldn't get
14 it to work, what makes you think you can get it
15 to work? Well, I've been doing this full time
16 for 20 years and if you work at something very
17 hard, you can actually get it to work.

18 But let's talk about this. Non-small
19 cell lung cancer study from the NCI. Firstly,
20 they used passage cells. These were not fresh
21 tumor assays. It said in the study methods that
22 they were fresh tumor assays, they were not.
23 That's an incorrect statement. This paper was
24 not written by an investigator associated with
25 the study. This was written by an investigator

00081

1 named Gail Shaw, who at the time was an oncology
2 fellow. She rotated through the NCI Navy branch.

3 This was after the investigators, after Dr.
4 Meadows' group already left. She went and did
5 these chart reviews, she wrote the paper, and she
6 incorrectly stated that these were done on fresh
7 tumors.

8 In fact, I called Audie Gasner at the
9 University of Texas, and he confirmed that every
10 single one of these studies were done on passage
11 cells. That's what they were trying to do, they
12 were trying to see, could they use cell lines to
13 do assays. So these are not fresh tumor assays,
14 these are on cell lines.

15 Why is that important? Well, because
16 papers have shown if you generate cell lines,
17 that with subsequent passages, that the drug
18 resistance changes. And this has been well shown
19 in the literature.

20 Secondly, these were monolayer
21 cultures. These were not -- they were not
22 testing three dimensional cultures of clumps of
23 cells, clusters of cells, they were testing
24 monolayers. And in a study, seminal study in
25 PNAS, 1993, Tyker and Kerbil, they showed that if

00082

1 you do monolayer cultures, that that doesn't
2 correlate, but that when you do three dimensional

3 cultures, it does. These were monolayer
4 cultures. No one does monolayer cultures. In
5 this study they did.

6 They had a 22 percent overall
7 evaluability rate, and 7 percent with lung
8 primaries. In 1985 I did a study in conjunction
9 with the Loma Linda VA, Dr. Dave Wilbur, in which
10 they just sent us by regular mail specimens of
11 non-small cell lung cancer. So this would take
12 two to three days to arrive in the mail, and this
13 was with technology in 1985. We had an overall
14 evaluability rate of 75 percent. Today -- I
15 reviewed my data last night before coming here,
16 and in the past five years, we have received 347
17 non-small lung cancer specimens, and 326 of those
18 assays were evaluable, which is a 93 percent
19 overall evaluability rate. Twenty of those had a
20 negative histology, and that's a reason for
21 inevaluability, so if you only look at cancers
22 that actually had cancer when it made it to our
23 lab, we had a 97 percent evaluability rate,
24 including a 96 evaluability rate with 124 primary
25 lung tumors.

00083

1 So these guys are testing a subset of
2 patients, 22 percent, and 7 percent with lung
3 primaries. So what do we know about that
4 subset? Well, it turns out that they had
5 previously shown in Annals of Internal Medicine
6 that when they got a tumor that they were able to
7 subculture, that just the fact that the cells
8 could be subcultured was a powerful negative
9 prognostic factor. And they said that this is a
10 marker, in the Annals of Internal Medicine paper,
11 for biologic aggressiveness. So think about it.
12 The only people getting assay directed therapy
13 are the people with the worse prognostic group,
14 with the biologic aggressive group, and they're
15 being compared with a group of patients that you
16 can't subculture, and they have the biologically
17 indolent group.

18 And finally, and last but not least,
19 they did not give assay directed therapy until

20 the fifth treatment cycle. They biopsied the
21 patient, it took them four treatment cycles to
22 get these cell lines going to test them, and so
23 they didn't actually get the assay directed
24 treatment until five treatment cycles.

25 This paper has been thrown up in my

00084

1 face again and again and again at the best
2 universities in the country, and this paper is a
3 bunch of rubbish. It should never have been
4 published. It is misleading, and it's terrible
5 that it keeps resurfacing. And I hope that the
6 previous speakers, or speakers that follow me
7 just don't -- this paper is irrelevant, let's not
8 waste any more of our time about it.

9 Now, the small cell lung cancer study
10 in extensive disease, this was modestly
11 positive. Why was that? Well, they still used
12 passage cells; that was bad. This paper in the
13 International Journal of Cancer again showed that
14 if you used passage cells in small cell lung
15 cancer, that doesn't correlate. However, small
16 cell growth is three dimensional spheroid
17 cultures, unlike non-small. That's good. They
18 had a 55 percent assay evaluability rate. That's
19 good too. They're not dealing with a selected
20 population. Assay directed patients were a
21 similar prognostic group relative to control
22 patients. So all these were good. And the only
23 thing that was bad is that they weren't giving
24 assay directed therapy until the fifth cycle.

25 Now I mentioned that the results of

00085

1 this study in limited disease were positive. In
2 fact, the patients who got assay directed therapy
3 lived a median of 38 months and those who got
4 standard therapy lived a median of 16 months.
5 This was statistically significant. It's a small
6 study, but it was statistically significant.

7 So why was this study positive and the
8 other one wasn't positive? For perfectly
9 explainable reasons. They're not treating a bad
10 prognostic group. They're using three

11 dimensional cultures. I would say they would
12 have had even better results if they had used the
13 assay chosen drug up front, but they didn't.

14 And in the extensive stage non-small
15 cell study, this was less positive than in the
16 limited stage study; why was that? Well, it
17 turns out that the assay directed were also a
18 worse prognostic group. In the extensive disease
19 study, patients could only get assayed if they
20 had peripheral lesions for biopsy under local
21 anesthesia. They did not do general anesthesia
22 for this. And they showed that just, when they
23 analyzed the patients that had biopsiable tumors
24 versus patients that didn't have biopsiable
25 tumors, there was a significantly shorter

00086

1 survival if you had a biopsiable tumor. That
2 makes sense. They've got more extensive disease;
3 of course they're going to die faster. So if the
4 only people getting assay directed therapy are
5 people that have a higher tumor burden, that's
6 really biasing it against it. And also, they're
7 not getting treated until the fifth cycle.

8 In summary, these NCI studies have
9 nothing to do with the real world. They don't
10 apply to the technologies that you're evaluating.

11 They are utterly irrelevant.

12 There have been many studies showing
13 correlations with patient survival. I wish that
14 I had time to take you through these and show you
15 the survival curves. In particular, one group of
16 studies is not going to get presented here, and I
17 just want to tell you very briefly about it. And
18 that is studies by Vierman's group in acute
19 lymphoblastic leukemia. There is some
20 controversy. Should you include pediatric
21 leukemia in a discussion about assays applicable
22 to Medicare patients. I think that you have to,
23 because it makes a consistent story.

24 If you look at the data that validate
25 these technologies, just to pick one type of

00087

1 disease, human lymphatic neoplasms, AOL and COL.

2 In 1962 an investigator named Schreck showed in
3 Annals of Clinical -- Journal of Clinical
4 Investigation, that if you did an apoptosis assay
5 on fresh cultures of COL, that radiation response
6 in that assay correlated with patient survival.
7 That work was lost. Nobody knew about apoptosis
8 in the '60s, nobody cared about it. In the early
9 '60s -- in the early '70s, people that were
10 working on assays and leukemia had the idea that
11 you had to do clonogenic assays. In fact, those
12 of you who are familiar with the literature, they
13 would look at clonogenic assays, actually do
14 clones of clones. They would plate single cells,
15 let them grow two to three weeks until you had a
16 clone, remove the clone, desegregate it and
17 reclone it, so you had this very cumbersome assay
18 that would take about six weeks, and people
19 thought that you had to do that, because there
20 was this phenomenon of the stem cell, and the
21 only thing that's relevant for chemosensitivity
22 is the stem cell.

23 I came up with this really radical
24 idea, based on Dr. Schreck's work, which I was
25 familiar with, that if you just expose the cells

00088

1 to the drug and divide them into groups, and
2 one's above average and one's below average, that
3 that will be a strong correlation with clinical
4 response. I published several papers on these in
5 the early 1980s. And what I showed in one of the
6 papers, for example, is that if you looked at
7 assays on both COL and AOL, pediatric AOL, adult
8 COL, that there was strong correlations with
9 clinical response. Furthermore, if you looked at
10 previously treated patients, they were much more
11 resistant, significantly more resistant than
12 untreated patients. And finally, if you I
13 followed individual cases of patients over time,
14 if they were assayed multiple times with no
15 intervening chemotherapy, there was no change in
16 the assay results, but if they had intervening
17 chemotherapy, they became demonstrably more
18 resistant in the assay. As a result of these

19 papers, there were other investigators that got
20 into the field.

21 DR. FERGUSON: Dr. Weisenthal, there
22 are three other people apparently that are
23 supposed to talk, if I extend the time to 10:15,
24 which I said I would, and so perhaps you could --

25 DR. WEISENTHAL: Well, Mr. Stein is not
00089
1 here today.

2 MR. STEIN: I'm here.

3 DR. FERGUSON: So that's four other
4 people. So maybe, if you could wind up?

5 DR. WEISENTHAL: Okay. It's very
6 frustrating. You know, I've got some really good
7 stuff to tell you.

8 DR. GRACE: I'll donate my time to Dr.
9 Weisenthal. Will that help?

10 DR. FERGUSON: That will help some.

11 DR. WEISENTHAL: I think I can finish
12 up in five minutes, can I -- okay.

13 I was going to summarize the data in
14 human lymphatic neoplasms. So basically, you
15 know, that's what I showed. Dr. Bosanquet has
16 for the last 18 years been studying these assay
17 systems in chronic lymphocytic leukemia, and I'll
18 let his work speak for itself.

19 The work that can't speak for itself is
20 a parallel work that was done in acute
21 lymphoblastic leukemia, and this work with the
22 MTTs, and what these investigators did at the
23 Free University of Amsterdam, first they started
24 using the DiSC assays, just as I described. They
25 said it's a lot of work, you've got to count with

00090
1 the microscope, so they preferred using the MTT
2 assay, but they used exactly the same culture
3 conditions, 96 hour culture, same exact identical
4 conditions. What they showed in a series of very
5 rigorous trials, published in excellent journals,
6 several publications in Blood, publications in
7 the Lancet, these are superb studies, and I am --
8 they should not be excluded from this
9 consideration. They showed in very rigorous

10 studies strong correlations between the assay
11 result and patient survival.

12 In fact, the assay results were the
13 strongest predictive factor, and it turns out
14 they were the only independent predictive factor.

15 And all these other cell marker studies that
16 people do on pediatric AOL were not significant
17 once you consider the cell culture results.

18 So if you take and look at
19 historically, the correlations with response, the
20 correlations with treatment status, and then if
21 you look at Dr. Bosanquet's excellent studies in
22 chronic lymphocytic leukemia, and you also
23 consider very identical studies in acute
24 lymphoblastic leukemia, it is a continuous
25 consistent whole.

00091

1 Now the last thing I want to briefly
2 address is the issue of drug synergy. Should you
3 test single agents, combinations? What this data
4 are showing is that most drug combinations in
5 human solid tumors are not synergistic. There is
6 very little, if any, evidence of clinical synergy
7 in clinical data treating human solid tumors.
8 Combinations, citoxin plus adreomyecin is never
9 synergistic. Taxol and platinum is not
10 synergistic, it's additive. These data show, it
11 says platin and etoposide -- these are results
12 where it says platin alone, etoposide alone, this
13 is what you would expect if they were additive,
14 and in fact they are additive. Now there are
15 occasional combinations which are uniquely
16 synergistic. One of them is gemcitabine plus
17 cisplatin, which is one of the most exciting new
18 combinations to come along in a long time. In
19 contrast, this is a highly synergistic
20 combination, and so when you've got a synergistic
21 combination, it make sense to test the drugs in
22 combination.

23 I am going to show -- this is a patient
24 that Dr. Nalick is going to be presenting. This
25 is an ovarian cancer patient, control culture.

00092

1 Carboplatin alone had a minimal effect.
2 Gemcitabine alone had a minimal effect.
3 Combination of the two wiped everything out.
4 Highly synergistic.

5 The next speaker you're going to hear
6 is Mr. Stein, and he was the subject of this
7 paper in Scientific America last February, and
8 he's going to tell you his story, but I'm going
9 to show you his assay. These are his control
10 cultures, this is pancreatic cancer. Control
11 culture. Platinum alone, modest effect;
12 Gemcitabine alone, modest effect; platinum
13 Gemcitabine, wiped out.

14 I'll stop here. Anyway, and other
15 speakers I'm sure will amplify the remarks that I
16 said.

17 I'd now like to introduce Mr. Randy
18 Stein.

19 MR. STEIN: I want to thank all the
20 distinguished members of this advisory committee
21 for listening to my testimony. I also want to
22 publicly state that I have no financial interests
23 or involvement with any manufacturers of any
24 products being discussed or with their
25 competitors. I would also like to inform you

00093

1 that I feel the importance of my being here to
2 testify is of such magnitude that I flew here
3 from Southern California and postponed a trip to
4 Acapulco. I plan on joining my wife, who went as
5 previously scheduled and is awaiting my arrival
6 directly after my testimony.

7 I truly feel I had no choice but to
8 testify though, because I realize that without
9 the cell culture drug resistance testing, I would
10 be dead. And to think that other people will die
11 if drug resistance testing is not approved, while
12 I sit and bask in the sun is totally unacceptable
13 to me. You see, I was blessed with an incredible
14 gift, the gift of life, and now everything I do
15 is about giving back, touching as many lives as
16 possible and trying to make a difference in the
17 cancer community.

18 I was diagnosed with four stage
19 non-operable pancreatic cancer that had
20 metastasized to my spleen and kidneys on January
21 22nd of 1997. My CA-19 tumor markers, a blood
22 test used to determine the severity of the
23 disease, were at 12,930, with normal being 0 to
24 37. My gastroendocrinologist sent me to a local
25 private practice oncologist named Dr. Stuart

00094

1 Nagasawa. Dr. Nagasawa explained to my wife and
2 I what I had, the grim statistics associated with
3 four stage pancreatic cancer, and then told us if
4 he were to treat me, he would like to get
5 aggressive. He went on to explain to us that
6 getting aggressive meant having a laparoscopy
7 done, taking a tissue sample from one of my many
8 tumors, and sending it to the Weisenthal Cancer
9 Group.

10 He then told us the principles behind
11 sensitivity testing and why that was so
12 aggressive. He also explained that with such a
13 fast growing cancer, my chances for recovery
14 would be better by knowing which chemotherapy was
15 the most effective, and even more importantly,
16 which was the least effective on my tumor. He
17 also explained that with conventional treatment,
18 we would not know the effectiveness of any
19 chemotherapy on my tumor for three months, and at
20 this time that was my expected life span. This
21 seemed like a no brainer to me, no now or maybe
22 blow my chances on a chemotherapy that wasn't
23 effective.

24 Being a little on the snobbish side and
25 realizing the probable outcome of my disease, we

00095

1 wanted to talk to some of the top doctors in
2 California. Second, third, fourth and fifth
3 opinions. To tell the truth, I was sorry we
4 didn't stop at the first. We went to the most
5 famous and most prestigious facilities available,
6 UCLA, USC, City of Hope, and the John Wayne
7 Cancer Center. We were told go fishing if that's
8 what you like. We were told, maybe three

9 months. We were told, I don't know why you're
10 still alive. We were kept waiting by one of the
11 grand gurus of cancer for over an hour, told yep,
12 it's pancreatic cancer, you have three months to
13 live, and I have to leave; I'm late for a root
14 canal appointment.

15 We discussed sensitivity testing with
16 each and every one of them and their collective
17 reactions were all the same. They were against
18 relying on the cell culture drug resistance test.

19 Their reasoning was simple, the test tube
20 doesn't exactly duplicate conditions in the body,
21 and the testing may prejudice the doctor's
22 choices. These same doctors were also sure I
23 would be dead two and a half years ago.

24 After much deliberation with friends
25 and family, we decided that although testing in

00096

1 the test tube may be different than the human
2 body, it did give us a better idea of what the
3 tumor was resistant to and what might work. And
4 let me tell you, when you have three months to
5 live, that sounds a lot better than just guessing
6 what chemotherapy to use, or doing what everyone
7 else is doing, with little or no help.

8 Conventional for four stage inoperable
9 pancreatic cancer patients has a 3 percent chance
10 of prolonging life for more than three months,
11 and 0 percent past one year. And as far as the
12 doctors being prejudiced, I'm a child of the '60s
13 and I hate prejudice, but this type of prejudice
14 seems very reasonable. We made the decision to
15 use Dr. Nagasawa. We felt getting aggressive
16 made more sense than waiting to die.

17 The current FDA approved treatment for
18 pancreatic cancer with metastases is Gemzar, and
19 had I treated within the FDA guideline, I would
20 be dead. When we received the results of the
21 drug resistance testing, Gemzar alone scored very
22 poorly. But the combination of Gemzar, when
23 combined with Cisplatin reacted very favorably on
24 my tumor sample. Dr. Nagasawa explained to us
25 that although by themselves, the Gemzar and

00097

1 Cisplatin scored poorly, together there was an
2 incredible synergy, meaning one plus one did not
3 equal two, it equaled ten. And without the
4 testing, we would have never known it.

5 He then told us I would be on this
6 combination of chemotherapies until further
7 notice. Three months later he took another CA-19
8 tumor marker test. The results came back at
9 8,970, down by approximately 30 percent. That
10 gave us hope, and hope is crucial to anyone's
11 survival. After nine months, in September of
12 1997, my tumor markers came down to 6,300, and my
13 chemotherapy was changed to every two weeks. The
14 following year showed a continuous decline in my
15 CA-19 tumor markers. I started to gain back the
16 50 pounds I had lost, and I was able to
17 discontinue the use of all pain medications.

18 Eighteen months after diagnosis, on
19 June 17th, 1998, my doctor called and said I've
20 got good news. I just received your latest CA-19
21 tumor markers and they came back at 31.4, well
22 within the normal range, congratulations. I
23 looked at my wife and she looked at me. The
24 tears started rolling off both of our faces. I
25 then replied, congratulations to you, Doctor.

00098

1 The rest of that night was spent celebrating. We
2 picked up chili cheese dogs and Dom Perignon,
3 called all the wonderful friends and family that
4 were so incredibly supportive during this time,
5 and had one incredible evening. The joy of that
6 night will live with me forever.

7 August of 1998, my chemo was reduced to
8 once every three weeks, giving my wife and I back
9 a life and allowing us the ability to travel, as
10 well as spend time with our loved ones. July of
11 1999, my doctor arranges a PET scan, and the
12 results come back: No cancer anywhere in my
13 body. August of 1999, with our healing
14 professionals, the decision is made: No more
15 chemo.

16 The NCI pamphlet on pancreatic cancer

17 on page 10, states that cancer of the pancreas is
18 very hard to control, that the disease can only
19 be cured when it is found in its early stage,
20 before it has spread. Last week we had a huge
21 fund raising function for the pancreatic cancer
22 action network. I had the opportunity to tell my
23 story to the 800 people in attendance. There
24 were doctors in the audience from the top
25 facilities in the country, Johns Hopkins, M.D.

00099

1 Anderson, Sloan Kettering, and many others.
2 Needless to say, they were all in awe of my
3 recovery and were very anxious to speak
4 personally to my doctor and myself regarding my
5 recovery.

6 I was lucky. Although my insurance now
7 covers this test, at the time it didn't.
8 Dr. Weisenthal allowed me to pay him over time,
9 and by the grace of God we could afford to do
10 that. Most people on Medicare can't, and they
11 don't need or deserve to die. It is up to us who
12 survive to become activists and to do our best to
13 see that proper actions are taken and that the
14 effective treatment and diagnostic aids are
15 researched and made available in the future. All
16 of this is why I am standing here today, cancer
17 free and begging you to approve this type of
18 testing.

19 Thank you for your consideration of
20 this very important coverage.

21 DR. FERGUSON: Thank you very much, Mr.
22 Stein.

23 Richard Nalick, or are you --

24 DR. NALICK: I am.

25 Good morning. My name is Richard

00100

1 Nalick. I'm a gynecologic oncologist at USC
2 School of Medicine, professor there, clinical
3 professor, and for about the last 15 years in
4 private practice in gynecologic oncology in Los
5 Angeles. I have no involvement with any company
6 or any individual or manufacturer of any of these
7 products being discussed today.

8 I am here today because of my interest
9 and passion in this particular form of testing of
10 chemotherapeutic agents. I finished my training
11 in gynecologic oncology in about 1974, went to
12 Texas for three years at Parkland Hospital, and
13 then back to USC as a professor. And at the very
14 beginning, I was interested in this form of
15 testing. It made the same sense to me as testing
16 a urine for culture insensitivities. If you can
17 do that for bacteria, why not do it for cancer
18 cells? Of course we had less drugs at that time
19 but it was still interesting.

20 We tried to set up an assay at USC and
21 it was a clonogenic type of assay, and it was
22 fairly good, but we didn't have the finances to
23 really carry that through. I then started
24 sending the assays of tumor to Dr. Von Hoff in
25 San Antonio. The problem there was that most

00101

1 often, because they had to be sent in dry ice,
2 the tumor tissue usually didn't make it to San
3 Antonio, at least not in good shape, and I had
4 several communications with Dr. Von Hoff and we
5 had some data, but it was somewhat difficult to
6 interpret, and I stopped using that assay.

7 About that same time a man by the name
8 of John Daniels, who's a medical oncologist and
9 Ph.D. at USC School of Medicine developed his own
10 clonogenic type assay, only this he did at USC,
11 and I started sending tissue to him. I
12 eventually collected at least data on 200
13 patients of my own, that I obtained initially for
14 use later, if the patient did not respond to
15 primary treatment. However, as time went by I
16 saw more often and more often that the findings
17 in the test correlated with my findings in the
18 patients, so I started using the assay, certainly
19 in patients who failed the treatment, and towards
20 the end started using the assay up front, because
21 I had such confidence in those findings.

22 However, after accumulating about 200
23 patients of experience, Dr. Daniels went on to
24 other things and started another company, and his

25 physicians were referred to Oncotech, which had

00102

1 just started. So I have experience with about
2 200 patients with the clonogenic assay, and then
3 at least a hundred if not more patients
4 experience with proliferative assays at Oncotech,
5 and that test proved very effective in my
6 practice.

7 And at this point now, I started using
8 this assay up front. Some people thought that
9 wasn't ethical, it wasn't correct, but with my
10 experience I had at that point was such that I
11 knew that if the test showed extreme drug
12 resistance for that drug, that drug did not work
13 in my experience, so I stopped using it. And I
14 would then pick the drugs left that looked the
15 best, keeping in mind toxicity, and their track
16 record in oncology.

17 Well, after a period of time, I sent
18 assays to Dr. Weisenthal's group, and I actually
19 compared them with Oncotech and Weisenthal, same
20 patient, two assays, and they correlated fairly
21 well. But in my opinion, the Oncotech assay was
22 certainly excellent for finding drugs that the
23 patient would not respond to very actively. The
24 Weisenthal assay, however, allowed me to test
25 more drugs.

00103

1 And I also felt relative to what you
2 have seen already and will hear later on, that
3 testing combinations is important also, and
4 actually with Oncotech we tested a few
5 combinations. But since with Dr. Weisenthal's
6 group being utilized, I've tested all patients
7 with single agents and combinations. So I always
8 test for carboplatin, cisplatin, one of the
9 platinums in Taxol, one of the platinums in
10 Topotecan, one with Gemcitabine. Other
11 combinations that aren't usually used, but that
12 have shown benefits in other studies, such as
13 Navelbine and Thiotepa. I will test Doxorubicin
14 and Doxil.

15 And I can tell you that up front, long

16 before the papers came out, we knew per that
17 assay that there was synergy between Gemcitabine
18 and platinum, that we didn't know before. And
19 that it had become, as far as I'm concerned, that
20 should be the gold standard today, not Taxol and
21 platinum, in my opinion. We also saw that
22 combinations worked in other situations too; very
23 often, carboplatin and Taxol would be equal to
24 Gemcitabine and Taxol.

25 But my feeling was that if they were

00104

1 equal on the assay, and knowing that really
2 platinum was probably the most important drug,
3 and now knowing that there is synergy between
4 Gemcitabine and platinum, and knowing that there
5 is no hair loss with platinum and Gemcitabine,
6 but there is with Taxol, and knowing that there
7 is there is no significant neurotoxicity with
8 carboplatin and Gemcitabine, there is with
9 Cisplatin, but when you combine platinum and
10 Taxol, you have very significant neurotoxicity,
11 and this leads to very important toxicity for the
12 patient in terms of quality of life.

13 So since the onset of my work in
14 gynecological oncology, probably the most common
15 cancer I deal with is ovarian cancer, it is
16 sensitive to these drugs, but the overall
17 prognosis is still poor, and the five-year
18 survival is till around 38 percent for all
19 stages. And since 70 percent of the cases we see
20 are advanced disease to start with, it's
21 extremely important to be very aggressive
22 surgically and to be very aggressive with
23 chemotherapy up front, at the beginning.

24 I think it's totally wrong to treat a
25 patient and hold chemotherapy until the patient

00105

1 metastasizes. I mean, I feel just like
2 Shakespeare said in Hamlet: Diseases desperate
3 grown are by desperate appliance relieved, or not
4 at all. And I think you have to be aggressive
5 from the beginning. So my aggressiveness is
6 based on extensive radical surgery, tumor

7 reductive surgery down to an optimal level if
8 possible, and then treating the patient with the
9 drugs that have been found on the assay to be the
10 ideal combination, keeping in mind the goal of
11 curing the patient when possible, palliating them
12 always, and minimizing toxicity, which is
13 extremely important, because that's basically the
14 quality of life problems with hair loss,
15 neurotoxicity where they can't walk or pick
16 anything up, and not to mention bone marrow
17 toxicity and so on.

18 So I pick the safest combination that
19 looks most effective on the assay. I will
20 continue to do that until I quit practice. And I
21 now have, I think probably the largest series
22 that any one physician has in the country. It's
23 between 450 and 500 patients, and I am trying to
24 write that data up. And I know what it's going
25 to show, because I've already seen it in my

00106

1 patients. So, this is what I feel, and I think
2 this will be proven by further speakers.

3 Thank you very much.

4 DR. FERGUSON: Thank you very much.

5 MS. TILLMAN: Dr. Nalick, did you state
6 whether you were here on your own behalf?

7 DR. NALICK: Yes. I am. May I show
8 three slides?

9 DR. FERGUSON: Well, we have William
10 Grace and John Fruehauf in the next five minutes.

11 DR. NALICK: Okay.

12 DR. FERGUSON: Or we can just forego
13 the break.

14 DR. NALICK: I'll just tell you the
15 case without the slides. It will take me two
16 minutes. As an example, I had a patient who was
17 a gynecologic nurse, oncology nurse. She had
18 early ovarian cancer, treated at UCLA, had a
19 hysterectomy, had fairly decent tumor reductive
20 surgery. She received platinum and Taxol. She
21 had persistent disease. She had a second look
22 that showed persistent disease. After a long
23 period of time, she was finally accepted for a

24 bone marrow transplant. She received that at
25 UCLA, was in the hospital for almost two months

00107

1 with a bill of over \$200,000. Her disease still
2 recurred.

3 I eventually saw her in 1997, opened
4 her abdomen. She has unresectable disease. She
5 was tested on the assay. She had a bowel
6 obstruction, had a colon resection. She was
7 found to be resistant to every single drug of 27
8 drugs, except synergy with Gemcitabine and
9 platinum. Dr. Weisenthal showed her slides. She
10 was treated with that combination, later had a
11 third operation, had only microscopic disease.
12 Had radioactive P-32 placed in the abdomen, and
13 then followed with six more courses of
14 Gemcitabine and platinum. She's now totally free
15 of disease. This is a woman who had stage four
16 disease, positive pleural effusions and
17 unresectable abdominal carcinomatosis. She's
18 free of disease, off chemotherapy for a year and
19 a half, and she's still working full time as an
20 oncology nurse.

21 DR. FERGUSON: Thank you. Ladies and
22 gentlemen, we have approximately 50 minutes for
23 six speakers. And I'm going to take the chair's
24 prerogative at this point to say okay, I would
25 like to just do them serially, and you can take a

00108

1 break as you need it. But then I'm going to
2 limit everybody to seven minutes apiece, unless
3 this group of people decides otherwise. So, I
4 would like to call William Grace.

5 DR. GRACE: I'm William Grace. For 24
6 years I was chief of cancer research and chief of
7 medical oncology at St. Vincent's. I am now full
8 time private practice. I have no financial
9 interest in any of the companies that are
10 represented here, but I have an enormous conflict
11 of interest, because Larry Weisenthal makes me
12 look good. As you know, I am in New York City,
13 where we have the world's greatest cancer
14 centers. And of course as you know, most people

15 don't fit into clinical trials, and in New York
16 City, probably only one in 20 gets into them. So
17 I see a lot of patients who have a lot of very
18 advanced disease, and one of the things that I
19 have done with my patients, and indeed in my
20 family, who have had cancer, I have used Larry
21 Weisenthal and other members here in order to
22 help manage their care.

23 What I can tell you is I could have
24 paraded in here a roomful of patients with
25 stories such as we've heard today from patients.

00109

1 And I am a strong believer in this technology,
2 and it's amazing. I have found that whenever I
3 present this technology to my patient, almost
4 none of them ever resent the cost involved; they
5 find the money somehow. It would be good for
6 some of my Medicaid patients and some of my
7 Medicare patients on fixed incomes if they could
8 have this technology available to them. And I
9 will tell you one thing; it likely wouldn't
10 reduce the cost, because I know many of my
11 colleagues who don't believe in this technology,
12 essentially chemo patients to death with one
13 chemotherapeutic combination after another, not
14 using this, but just going from one ASCO Journal
15 to another ASCO abstract to another, and boy,
16 that adds up to an awful lot of money. And if
17 you could predict that these patients would not
18 respond, you'd save patients a lot of grief and
19 you'd save patients a lot of money.

20 So, the only thing I'm going to do is
21 turn my remaining four minutes to somebody else,
22 because I am here on my own, I'm here because the
23 assay, one, makes me look good in a very
24 competitive market, and I believe that this
25 technology should be brought, and indeed the

00110

1 combinations that Larry has given us have been
2 applied to my pancreatic patients. And I have
3 become now a guru, along with Howard Bruckner, in
4 New York for pancreatic cancer, and we are all
5 using Larry's stuff to tell us what combinations

6 to use, and we're doing some exciting work in
7 pancreatic cancer. You just will not believe the
8 results when you finally see them.

9 Thank you.

10 DR. FERGUSON: Thank you very much.
11 Let's see. John Fruehauf?

12 DR. FRUEHAUF: It's a pleasure to be
13 here today. I am John Fruehauf. I'm a medical
14 oncologist. I have a conflict of interest. I am
15 the medical director for Oncotech.

16 And I began my career in oncology
17 really as a an M.D. Ph.D. student, and my Ph.D.
18 was in pharmacology, in Chicago. And I studied
19 the effects of BCNU on leukemia, using tritiated
20 thymidine as a measure for measuring cell
21 proliferation. I had learned that technique as a
22 co-step commissioned officer in a program at the
23 NCI where I spent three months in Dr. Herberman's
24 laboratory. And then went on to do my fellowship
25 in medical oncology after residency at the

00111

1 University of California, and my fellowship was
2 at the NCI. And as a first year fellow, I
3 participated in some of the small cell lung
4 cancer studies that were presented, where I was
5 treating patients based on these assays. So for
6 about the last 19 years, I have been involved in
7 this field. And as a practitioner at UC Irvine,
8 where I treat patients, I can see the value of
9 these technologies.

10 And I wanted to talk a little bit,
11 briefly go over the historical perspective of
12 where we came from to get to where we are today.
13 And I want to talk a little bit about the
14 clinical guidelines. The speaker for the FDA
15 talked a little bit about how we judge laboratory
16 testing, and I wanted to talk about the levels of
17 evidence that we use in decision making, and
18 summarize clinical data, and then present an
19 algorithm for how we can use this technology
20 clinically.

21 Now the principles that we employ in
22 cancer treatment are basically that most patient

23 are not likely to be cured. And so, we focus our
24 efforts on palliation, to prolong life rather
25 than cure patients. And we know there is

00112

1 morbidity related to our chemotherapy treatment.
2 People have talked about alopecia,
3 neurotoxicities, gastroenteritis, all sorts of
4 risks, and we have to balance these risks of
5 therapy with the benefits of modest life
6 prolongation for most patients.

7 So when we look at outcomes, we look at
8 survival, we look at improvement, in disease free
9 survival, complete response rates, we look at
10 cost effectiveness, but quality of life is really
11 one of the critical end points as an oncologist
12 who sees the patient sitting down in front of me,
13 how are you feeling today? Do you have
14 neuropathy? Should we change your chemotherapy?
15 Because if you aren't going to cure somebody,
16 your goal is to help them live a quality life
17 until they die. So this testing can be used to
18 do that, by eliminating ineffective therapy.

19 So, how successful are we in treating
20 medical oncology patients today? There's about a
21 million patients diagnosed a year. 64 percent of
22 these patients present with localized disease.
23 So there's a large bulk of patients, 44 percent
24 can be cured with surgery, 18 percent can be
25 cured with radiation therapy, but a dismal 2.4

00113

1 percent are cured with chemotherapy.
2 Chemotherapy, unfortunately, has not reached the
3 level of great success at this point in time.
4 And so we're struggling in research to find new
5 drugs and to do a better job.

6 If people present with metastatic
7 disease, again, 3 percent are cured. 5 to 6
8 percent will have remissions for two years; 15
9 percent can have a remission for a year; 76
10 percent have no, or minimal life prolongation.
11 So the vast bulk of patients we're treating with
12 chemotherapy are not particularly benefitting
13 from this. And so with that backdrop, we can

14 look at the value of tests to help avoid
15 ineffective therapy, where this is so common.

16 Now we all take an oath as physicians,
17 the Hippocratic oath, to keep patients from harm
18 and injustice. And I think it is an injustice to
19 treat people with drugs if you can figure out
20 ahead of time, they're really not going to
21 benefit the patient. And I tell my patients,
22 each patient I treat, what are the ground rules
23 that we want to do, use as a team in approaching
24 your disease? And we all agree, we never want to
25 make the treatment worse than the disease. And

00114

1 of course, giving ineffective therapy that's
2 toxic breaks this rule.

3 And Dr. DeVita, in the third edition in
4 his textbook, Principles and Practices of
5 Oncology, has stated that the most important
6 reason that people do fail in treatment is drug
7 resistance. So this is why in our laboratory, we
8 focused on drug resistance as an end point.

9 Now, this all started back in the '70s,
10 the 1870s, when Pasteur looked at chemotherapy,
11 and bacterial cultures. So this has a long
12 history in terms of in vitro testing. But more
13 -- as the technology evolved, the first studies
14 on cancer cultures were published in '54. In '56
15 it was found, very importantly, that agar could
16 selectively allow you to measure drug effects on
17 cancer. And this was really a breakthrough in
18 terms of then developing the clonogenic assay. I
19 think other speakers have pointed out, and will
20 point out that these basic clonogenic stem cell
21 assays were not effective. There were many
22 problems. Clump artifacts; it would take three
23 weeks to get an answer; you only got an answer
24 half of the time. This was not a good
25 technology.

00115

1 Advances were made, introduced, in
2 second generation, and then finally, third
3 generation technologies. And as Dr. Weisenthal
4 pointed out now today, we get answers 85 to 90

5 percent of the time within seven days, which is
6 relevant to having an impact in clinical
7 utility. So I think how long it takes, how often
8 you get an answer, was a great advance over the
9 original clonogenic assays.

10 So we want to talk about a little bit
11 today about how assays can predict response,
12 which is one end point, but also importantly, do
13 they relate to patient survival? Now, what are
14 the statistical requirements? And I'm just going
15 to say we will show about, talk about sensitivity
16 and specificity, predictive accuracy,
17 reproducibility, cost effectiveness, and that
18 these are the kinds of means you use to validate
19 a home brew test in a laboratory following CLIA
20 guidelines.

21 Now there are levels of evidence we
22 follow. Level one is metanalysis for prospective
23 studies, and Dr. Weisenthal has shown you a
24 metanalysis of sorts. Although most of the
25 studies were not necessarily randomized or

00116

1 controlled, it doesn't meet level two and level
2 three requirements, which is evidence obtained
3 from at least one well designed experimental
4 study. And I think critically, that these
5 studies are internally consistent. And level
6 three is evidence obtained from well designed
7 quasi-experimental studies such as non-randomized
8 controlled single group studies.

9 Because tests are not drugs, you don't
10 really compare Test A to Test B in a prospective
11 randomized study. You compare a test to
12 outcomes. Does the test predict an outcome. So
13 I think that actually, this kind of experimental
14 model fits testing, whether or not a laboratory
15 procedure can predict an outcome in the clinic.

16 And so, Grade B evidence is what we
17 want to look at here, because that's taking
18 levels of evidence type two and three, or four,
19 and showing that the findings are generally
20 consistent. And I think what Dr. Weisenthal has
21 reinforced is that if you look at all the studies

22 that have been done in the last 20 years, these
23 studies show very consistently that you can
24 identify ineffective agents.

25 Now, it's also been -- this is very

00117
1 difficult to read, I apologize, but over here
2 what this says is that class two and three
3 evidence is used to make decisions in the
4 treatment of breast cancer.

5 DR. FERGUSON: I'm giving you four more
6 minutes, because you were donated.

7 DR. FRUEHAUF: We've divided our time
8 up with the other people in our group.

9 DR. FERGUSON: Oh, you have?

10 DR. FRUEHAUF: Yes.

11 DR. FERGUSON: So you will be finished
12 by 11?

13 DR. FRUEHAUF: I was going to take
14 about 20 minutes; so what time did I start?

15 DR. FERGUSON: Okay. And so that Orr
16 and Hoffman, and Bosanquet, and David Alberts
17 will finish in --

18 DR. FRUEHAUF: They will take about ten
19 minutes each.

20 DR. FERGUSON: Well, it was about eight
21 the way I calculated before, so I mean, there are
22 four after you.

23 DR. FRUEHAUF: Well, I'm going pretty
24 fast here.

25 So, I want to talk about the data now,

00118
1 with that background. The cancer is a really big
2 problem. We can't really cure people very often,
3 and if we can identify drugs that don't work,
4 that can have great value. Did the data show
5 this?

6 Now here are correlations that we
7 published in the Principles and Practice of
8 Oncology. Dr. DeVita asked Dr. Bosanquet and
9 myself to write a review, you have that in your
10 packet, this table comes from that review, from
11 the textbook. And we looked at 4,263 cases
12 tested with a variety of technologies, and what

13 we found was that the predictive accuracy of
14 these tests was 90 percent or better for
15 predicting drug resistance, and about 72 percent
16 for predicting response. And the sensitivity and
17 specificity for this technology regardless of the
18 end points is very comparable, 85 and 80
19 percent.

20 Now how would that compare to tests we
21 use every day? In fact, the predictive accuracy
22 of drug response assays is comparable to hormone
23 receptor assays, and slightly better than
24 bacterial culture and sensitivity assays. And I
25 would submit, who would want to treat a breast

00119

1 cancer patient with Tamoxifen before getting an
2 ER or PR result? Or if you have a patient with a
3 refractory bacterial infection who's neutropenic
4 and they're not responding to primary empirical
5 therapy, almost every one of us will get cultures
6 to direct our therapy. So these are commonly
7 used tests that have comparable predictive
8 reliability to in vitro drug response.

9 Now we did a study of 450 cases.
10 Actually UCLA did a study, which is the
11 validation study for the technology we used in
12 our laboratory at Oncotech. And there were 332
13 colony end point assays, which at that time was a
14 gold standard, and the newly developed technology
15 was thymidine incorporation, and so there were
16 118 of these assays that were then compared to
17 the gold standard to make a determination of, can
18 the new technology give us similar results.

19 And without belaboring the point,
20 tumors are cultured in three dimensions, which is
21 important, as Dr. Weisenthal indicated. They are
22 cultured in drugs for five days, which means that
23 their exposure, in vitro drug exposure or
24 concentration times time, is going to be about
25 five to 20 times higher than you can achieve in a

00120

1 patient. And at the end of a three-day exposure
2 period, for the last two days to make five days,
3 treated thymidine is added and if the cells are

4 dividing they will incorporate thymidine and you
5 can measure that with a scintillation counter.

6 Now these tumors were cut out of
7 patients, shipped across town, put into a
8 culture, chopped into pieces, exposed to five
9 times higher exposures than you can give in
10 patients, and if they grew through that drug
11 exposure, what was the outcome clinically? What
12 we could see is that the colony end point was
13 very comparable to the thymidine end point. In
14 your handouts you can see and probably read more
15 legibly, that if you looked at the overall assay
16 predicted response probabilities, zero people
17 were responding in the assay if they had a below,
18 one standard deviation below the median result.
19 And this zero response rate was true whether they
20 got drug combinations containing different single
21 agents that were tested in the assay, and it was
22 true across different tumor types as well. So
23 there was a robust quality to showing people
24 didn't respond, zero response basically, except
25 for one responder with this cut point of one

00121

1 standard deviation below the median. So this was
2 chosen then, to evaluate patients further.

3 And this is showing that overall, it
4 didn't matter what drug was chosen or what tumor
5 type was evaluated, that this end point was
6 true. So here if we're looking at different
7 drugs, basically very few people responded. This
8 is the same patient here in the extreme
9 resistance group. Responding didn't matter what
10 the drugs were or the tumor type.

11 And this is a summary overall, and the
12 black dots are the thymidine end point, the open
13 circles are clonogenic assays, and we can see
14 that they're all clustered together. They were
15 found to correlate directly. So this was a way
16 of validating thymidine compared to what had been
17 an important end point, the colony forming
18 assay. And this is the threshold that was chosen
19 as the resistance end point that shows that only
20 one out of 127 patients responded clinically if

21 their tumor fell in that category.

22 So Bayes' Theorem, I won't go over this
23 in detail because Dr. Weisenthal did, but you
24 have a pretest result, you do a test, and you can
25 assign a post-test result now to the patient's

00122

1 probability of response. And this is the
2 prediction based theorem showing that in this
3 study at UCLA, that the patient results fell on
4 those predicted Bayesian lines, and the pretest
5 probability was then altered by the test to give
6 a post-test probability.

7 And here we can see, if you're an
8 extreme resistance category, your post-test
9 probability is going to be significantly lower
10 than your pretest probability, whereas if you're
11 in the low resistance category, it's the
12 opposite.

13 So we looked at a number of patients
14 who for Taxol, to compare because of the
15 reasoning that if you're over 65, are you going
16 to be different than you're under 65. And I
17 think Medicare would be concerned that there
18 might be differences in age groups for results in
19 the assay. We found no difference if they were
20 less than 65 or more than 65 in terms of the
21 frequency of extreme resistance to Taxol, which
22 is commonly used in breast cancer.

23 Now, another way of validating a test
24 is to determine if the end point your test
25 measures can be confirmed by a second end point.

00123

1 So we looked at peglotical protein expression, we
2 published this in Clinical Cancer Research, where
3 the degree of peglotical protein increasing on
4 the tumor correlated directly with decreased
5 response to Taxol in the assay. So we took a
6 known mechanism and correlated it with the assay
7 result, and found a direct correlation.

8 Adreomyecin did not correlate as well, because
9 there were multiple mechanisms of resistance for
10 adreomyecin.

11 And I think this is a very important

12 point to emphasize, that we can't go out and
13 measure specific mechanisms, because cells are
14 very complicated. So what an in vitro test does
15 is it takes all the mechanisms working in situant
16 cells, grown as little clumps, to recapitulate
17 the in vivo growth. And it shows that multiple
18 mechanisms can be integrated into a net effect
19 result that correlates with clinical response.
20 And I don't know of any patients who if they
21 don't respond to chemotherapy, are going to do
22 well. The only patients to do well are the ones
23 that do respond.

24 So this is just briefly then, to close,
25 a study that we have done that was peer reviewed

00124

1 by the ASCO committee and presented at the ASCO
2 meeting last year, where we looked at breast
3 cancer survival as another end point, and
4 compared survival of 96 patients who were tested
5 in the assay, who received chemotherapy with
6 their EDR scores, nodal status, and clinical
7 stage. And if they were resistant to two drugs,
8 they were given a score of 0. If they had low
9 drug resistance to both drugs, they were given a
10 score of 4, and so forth in intermediate
11 categories. And just briefly, I'll say there
12 were no statistical differences between the
13 groups who were resistant and sensitive in the
14 assay in terms of stage, lymph node status, tumor
15 size and so on.

16 They were also treated evenly in terms
17 of hormonal therapy, mastectomy versus
18 lumpectomy, and chemotherapy agents that were
19 chosen to treat them. This was a blinded study.
20 The patients were treated with chemotherapy
21 empirically, and the outcome and survival was
22 compared to the assay result. We found in
23 multivaried analysis, progression free survival
24 was significantly worse. The relative risk of
25 progression was 2.9 fold higher for patients who

00125

1 were resistant versus low resistance, and that
2 was similar to the poor prognosis conferred by

3 high nodal status greater than 10, or stage four
4 versus stage one. And similarly in overall
5 survival, there was a significantly worse
6 survival if you had any resistance in the assay.
7 And this shows the progression free survival
8 curves, which were significantly different, and
9 the overall survival difference. For patients
10 with low resistance in the assay versus
11 whatsoever to the drugs they received.

12 Now there are many other survival
13 studies that have been done, smaller studies, but
14 again, the majority are internally consistent for
15 showing significant differences in survival where
16 test resistant people survived for significantly
17 less time than patients who got drugs to which
18 they were sensitive in vitro. This is a more
19 recent summary of survival correlations, where in
20 these studies with over 300 cases, 400 cases,
21 where different people looked at different tumor
22 types, it shows significant survival advantages
23 to receiving drugs that were not resistant
24 compared to drugs that were resistant.

25 So I think that the end point of

00126

1 survival has been addressed, correlations between
2 the assay technology and other validating methods
3 have been addressed, so I believe that if these
4 tests are used by normal incorporation into the
5 routine practice, you get a biopsy, you look at
6 the diagnostic information from pathology, you
7 look at prognostic markers, you look at drug
8 resistance information, staging information, and
9 then planning goes on between the physician and
10 the patient, based on integrating this
11 information together.

12 So in vitro assays do correspond to
13 response with specificity and sensitivity that
14 are adequate and comparable to other clinical
15 tests. Survival is significantly associated with
16 in vitro response. Assay directed therapy has
17 improved outcomes. And other people talked about
18 cost. You've heard about survival. So I believe
19 that levels of evidence two and three have been

20 met, the standard criteria for covering these
21 kind of things, and this is how we approach tests
22 in medical oncology.

23 So, because there isn't time for
24 questions, I will conclude at this point. Thank
25 you.

00127

1 DR. FERGUSON: Thank you. We have
2 actually 25 minutes now, for four presentations,
3 Dr. Orr, Dr. Hoffman, Dr. Bosanquet, and David
4 Alberts. And that's, you know, seven minutes or
5 so apiece, and I guess since the presenters -- I
6 guess I'm going to have to crack the whip more
7 than I have. So, Dr. Orr? I am going to hold
8 people to seven minutes this time.

9 DR. ORR: I am Jimmy Orr. I am a
10 gynecologic oncologist in private practice in GYN
11 oncology in Fort Meyers, Florida. I currently am
12 a clinical professor at the University of South
13 Florida. And I do have a conflict of interest,
14 in that some of the data that I will present
15 today was supported by Oncotech, some of the peer
16 review data.

17 If one talks about therapeutics and the
18 roles of therapeutics, we've already alluded to
19 Robert Lowell's rules, who was a barred professor
20 of medicine at Columbia. And all of these apply,
21 I think, to the treatment of patients with
22 cancer. If what you're doing is good, keep doing
23 it. If what you're doing is not good, stop doing
24 it. If you don't know what to do, do nothing.
25 And finally, never make the treatment worse than

00128

1 the disease. And each of these apply, I think,
2 to the treatment of women with gynecologic
3 cancer.

4 As far as peer review evidence as it
5 relates to the use of drug resistance assays in
6 women with ovarian cancer, and I will remind you
7 that they comprise about 25,000 cases a year in
8 this country, and the median age is about 64.
9 Two years ago Roswell Park presented some data
10 looking at drug resistance assays, and I think

11 two very important aspects of that paper need to
12 be emphasized.

13 Number one, in looking at patients with
14 extreme drug resistance assay, if you compare
15 those patients who had no extreme drug resistance
16 to platinum and Taxol, or extreme drug resistance
17 to platinum and Taxol, it took two very important
18 end points, complete surgical response and
19 progressive disease, one can see that the
20 presence of EDR to platinum and Taxol halved the
21 complete surgical response. And that becomes
22 extremely important, because if you look at
23 complete surgical responders, survival is clearly
24 different from those who were found at second
25 look to have persistent disease.

00129

1 And the incidence of progressive
2 disease during initial treatment was almost
3 doubled. In a current abstract that has been
4 submitted, when one looks at extreme platinum
5 resistance, and looks at progression free
6 survival, one can see that patients who are
7 extreme platinum resistant have roughly half of
8 the progression free survival, and roughly half
9 of the estimated five-year survival, both being
10 statistically and certainly clinically
11 significant.

12 I would like to address for the
13 remaining three to four moments, is this test
14 cost effective. In a recent peer review journal
15 article we submitted, the Cancer Journal, we
16 evaluated the cost effective treatment of women
17 with advanced ovarian cancer by cytoreductive
18 surgery and chemotherapy directed by an in vitro
19 assay for drug resistance. All patients received
20 cisplatin but the second drug in combination was
21 guided by the results of the extreme drug
22 resistance assay. That is, platinum with Taxol,
23 or platinum with cyclophosphamide, as guided by
24 the assay results. As one knows and understands,
25 there are significant incidents of extreme drug

00130

1 resistance across the board of patients who have

2 up front treatment with ovarian cancer, in the
3 neighborhood of 35 percent for platinum, 20
4 percent for Citoxan, 15 to 20 percent for
5 carboplatin and cisplatin. So the incidents of
6 drug resistance is very common in patients who
7 have not received previous treatment.

8 Our overall survival was 66 percent at
9 three years. There was no significant difference
10 between patients treated with Taxol carboplatin
11 and platinum in Citoxan. If one looks at the
12 average cost to the bottom line, the average
13 total drug cost to the average drug cost per
14 patient, and then adds in the average
15 chemotherapy cost per patient with or without the
16 assay, and then sorts out treatment related
17 results as far as drug cost per patient, assay
18 cost per patient and treatment cost per patient,
19 we can see that assay directed therapy appeared
20 very favorably in comparison to the standard as
21 most would say today, of Taxol and platinum. And
22 more importantly, the cost effectiveness per
23 patient, that is, taking the cost divided by the
24 overall survival, also appeared very important.

25 Assay drug related treatment can be

00131

1 used cost effectively in a significant number of
2 women with gynecological malignancy, and
3 particularly in those women with ovarian cancer.
4 Assay guided therapy can improve their survival
5 and is clearly prognostic.

6 Thank you very much.

7 DR. FERGUSON: Thank you very much, Dr.
8 Orr. Next is Robert Hoffman.

9 DR. HOFFMAN: My name is Robert
10 Hoffman. I am the founder and president of
11 AntiCancer, Inc., who sent me here. I am a
12 graduate of Harvard University, where I did my
13 Ph.D. in cell biology. I trained in tissue
14 culture at Massachusetts General Hospital with
15 John Littlefield, and I have been in this field
16 for approximately 30 years.

17 We have developed what we call the
18 histoculture drug response assay, which is based

19 on three dimensional culture of cancer tissues,
20 and I'd like to introduce you to this assay, if I
21 may. The emphasis is on three dimensional
22 culture that preserves the tissue structure of
23 the cancer during the culture. The evaluability
24 rate is approximately 95 percent. Mono and
25 combination chemotherapy can be evaluated.

00132

1 There's correlation with sensitivity, correlation
2 with resistance, correlation with survival, and
3 increased survival has been found for assay
4 directed therapy.

5 This is just an example of a stomach
6 tumor. This is what it looked like coming out of
7 the patient, looked like after two weeks in
8 histoculture. So, the key point here is
9 preservation of tumor structure and tumor
10 physiology.

11 To give you an idea of how the test
12 works, this is just one example. This is human
13 breast cancer. Sensitivity to Doxorubicin
14 measured with the MTT end point. And here we
15 have tissue culture plates; these are sponge gels
16 on which pieces of tumor are cultured, and we
17 have increasing concentrations of Doxorubicin,
18 and you can see just from the no treatment, the
19 dark staining MTT, to treatment at 29 micrograms
20 per ml, where there is no staining at all. And
21 you see a gradation over the increasing
22 concentration. So this gives you an idea of how
23 the assay works.

24 Here is an example of breast cancer
25 with a front line therapy, adreomyecin or

00133

1 Doxorubicin, and we were measuring the percent of
2 dividing cells, in this case by a thymidine
3 assay. And the point of this is that there is
4 approximately two to three orders of magnitude in
5 sensitivity over a series of patient tumors. So
6 empirical therapy, I believe, does not give us
7 very valuable information.

8 This is a study we published a few
9 years ago on the clinical applications of the

10 HDRA or histoculture drug response assay,
11 published in Clinical Cancer Research, where we
12 emphasized correlation with survival.

13 And these are studies done on gastric
14 cancer patients treated with mitomycin C and five
15 fluorouracil, using the MTT end point. And we
16 just, this is survival and this is disease free
17 survival, and these are patients that were HDRA
18 or histoculture drug response assay sensitive,
19 surviving a considerable period of time. These
20 were patients that were resistant in the assay to
21 these drugs, mitomycin C and 5-FU. And the
22 recurrence free survival has a very similar
23 curve. In other words, the HDRA sensitivity
24 correlated with increased survival.

25 We did further studies on the survival

00134

1 with the HDRA in a 46 center study, also
2 published in Clinical Cancer Research, and I'd
3 like to share these data with you, some examples
4 of survival. These are gastric cancer patients,
5 high stage, three and four, and the patients that
6 were sensitive in the assay are, have a
7 considerably long survival. The patients that
8 were resistant in the assay, in this case to
9 mitomycin C and UFT, which is a 5 fluorouracil
10 derivative. So again, correlating with survival.

11 In a subsequent study that's not yet
12 published, we correlated survival in the
13 histoculture drug response assay with response to
14 mitomycin C. And all the patients here were
15 treated with mitomycin C. Survival again, here
16 are the patients that are sensitive in the assay,
17 surviving significantly longer than the patients
18 who were resistant. HDRA resistant patients
19 here, HDRA sensitive patients here. So there is
20 a statistically significant difference in
21 survival between HDRA sensitive and HDRA
22 resistant, as there have been in all the studies
23 I've shown you thus far.

24 Here I would like to show you a
25 correlation between assay directed therapy and

00135

1 clinician's choice, using the histoculture drug
2 response assay for survival. And this is with
3 gastric cancer patients, and here are the
4 patients with, that are directed by the assay,
5 assay directed therapy, and there are a series of
6 12 patients here, and this is their survival for
7 a period of 18 months or so, and here are the
8 patients that were resistant in the histoculture,
9 in the HDRA, and treated by clinician's choice,
10 and you see their survival here. And the average
11 survival for the HDRA or the assay directed
12 patients who were HDRA sensitive was 9.8 months,
13 compared to 4.7 months for those who were HDRA
14 resistant and treated by clinician's choice.

15 So what I -- we've published over 40
16 papers on the HDRA and what I've summarized for
17 you here are studies with survival, which we
18 consider to be the ultimate end point. And we
19 have shown that the HDRA not only correlates with
20 survival, but even in a prospective study, assay
21 directed therapy can seemingly increase
22 survival.

23 Thank you very much.

24 DR. FERGUSON: Thank you, also for
25 staying on time. Next, Andrew Bosanquet?

00136

1 DR. BOSANQUET: Thank you very much. I
2 am Dr. Andrew Bosanquet, from the Bath Cancer
3 Research Unit, in England. I've come here by the
4 invitation of Larry Weisenthal. Because I run a
5 small charity, my fare is being paid for by
6 Oncotech. At Bath Cancer Research, I want to
7 show you some of the work that we published in
8 the last year with the DiSC assay that was
9 proposed by, sort of invented by Larry
10 Weisenthal. In 1991 we published this survival
11 curve in chronic lymphocytic leukemia patients.
12 We have worked very much with chronic lymphocytic
13 leukemia and most of the results that we've got
14 are with this disease, and all the results that I
15 am presenting now are with chronic lymphocytic
16 leukemia.

17 So here you see in the 1991 work,

18 patients who were sensitive to the drugs they
19 received survived longer than those who were
20 resistant to the drugs that they received. In
21 this latest paper that we published in the
22 British Journal of Hematology this summer, we are
23 looking at fludarabine, and we used the DiSC
24 assay to determine drug sensitivity to
25 fludarabine. Patients were treated independently

00137

1 and then we compared the results. The treatment
2 of patients was either labeled with fludarabine,
3 i.e., fludarabine was given within the first year
4 of the test being done, after the first test
5 being done, or with any other chemotherapy. And
6 the point was that no fludarabine should be
7 given.

8 We have 243 patients who came into this
9 study. Those who received fludarabine versus
10 those who received other chemotherapy, these are
11 the numbers, very similar age and stage, sex
12 ratio, relatively similar previous chemotherapy.
13 The response to chemotherapy, which is one of the
14 end points that we've looked at often, in the
15 test sensitive patients was around 18 percent and
16 in the test resistant patients was a zero, or one
17 patient out of 15 responded, but just for a short
18 time. Very significant difference in response.

19 But survival is the important thing.
20 Here, of patients who received fludarabine, here
21 is their survival if they were test sensitive,
22 here is their survival if they were test
23 resistant. Now in chronic lymphocytic leukemia,
24 you would expect a survival of four or five
25 years, and with fludarabine, fludarabine is the

00138

1 modern drug to use for this disease, and so you
2 would expect patients to have this sort of
3 survival. But notice these patients; they have
4 been given the best drug for this disease, they
5 are expected to survive for five years, and they
6 were all dead by 17 months. These patients we
7 looked at in some detail, the 15 of them. They
8 were too sick, having received fludarabine to

9 which they didn't respond, they were too sick
10 then to receive any other chemotherapy. If they
11 had only received some other chemotherapy first,
12 other than the best drug, what is considered the
13 best drug, they could have survived longer.

14 Was it that these patients had a poor
15 stage and so on? The answer is no. These are
16 the same two curves divided into those without
17 any previous treatment, and there is still this
18 same difference in survival in those who had
19 received previous treatment. Stage and sex and
20 so on were very similar between those two.

21 Now this is the same 15 patients who
22 died very soon after receiving fludarabine, but
23 note, these are all fludarabine test resistant
24 patients, and this line is a line of patients who
25 received any other chemotherapy. It didn't

00139

1 matter what they had, as long as it was
2 chemotherapy within one year of the test. It
3 wasn't assay directed even, it was just they did
4 not receive fludarabine, they did not receive the
5 best drug. And they survived longer, because
6 these patients were test resistant to the best
7 drug.

8 So here we see patients surviving
9 longer even though they are not chosen by the
10 test result. And if we look at these two groups
11 of patients, again, there's very similar
12 characteristics, the age, stage, and sex, and
13 previous chemotherapy that constitute parameters
14 that are important in the treatment of CLL. But
15 actually you will see that they are actually very
16 sensitive to other CLL drugs. Both groups of
17 patients, 80 percent of them were sensitive to
18 other drugs, whether it be prednisolone,
19 doxorubicin, pentostatin, vincristine.

20 And so as a result of these and other
21 experiments that we've performed, the DiSC assay
22 is part of the second randomization in the U.K.'s
23 national medical research council, CLL 4 trial.
24 And the second randomization is to treatment
25 guided by the DiSC assay, versus treatment guided

00140

1 by protocol, which is essentially physician
2 choice.

3 So in five years time, I hope we can
4 have a result from that for you, which will be,
5 this is going to have 500 patients entering into
6 it. This will be a good robust study using
7 randomized control trial.

8 Very briefly, we did test a very
9 similar drug, calatropin. 34 patients were
10 treated. We did a concurrent DiSC assay, so this
11 was a prospective study looking at -- patient
12 characteristics, I won't go into, and here you
13 say see the test results, raw data on the
14 left-hand side on whether the patients received a
15 complete, partial or no response. And as you
16 see, those who responded had low test results,
17 i.e., high sensitivity, and those who did not
18 respond had a very resistant test, apart from
19 these two who were withdrawn drawn early.

20 Just briefly on the economics of it,
21 here are a set of CLL patients who were either
22 given drugs to which they were resistant to, and
23 every other test, drug that we tested, they were
24 also resistant to, so we couldn't expect to do
25 very much for these patients. Here is a survival

00141

1 of patients who were given drugs to which they
2 were sensitive to, and here is the survival of
3 patients who were given drugs to which they were
4 resistant, but they had drugs in the test to
5 which they were sensitive. They should have
6 gotten drugs they were sensitive to, and survived
7 along this line. And if we work out, if we look
8 at the data on this, this was very significant,
9 this unused sensitivity we called it, where they
10 could have had better treatment.

11 And the cost per life year gained, if
12 we'd used DiSC assay guided treatment there, over
13 all the patients tested, not just that group, but
14 over all the patients tested, was 1,500 pounds,
15 or \$2,500, and this value of \$2,500 compares with
16 the cost of treating CLL patients per life year

17 gained, which is enormous, compared to the cost
18 of extending the life for a year in patients by
19 using a drug sensitivity test.

20 DR. FERGUSON: Thank you very much, Dr.
21 Bosanquet. Do you have handouts for some of this
22 material?

23 DR. BOSANQUET: We do have copies of
24 the three papers to which I referred, and we can
25 give them to you.

00142

1 DR. FERGUSON: Now, Dr. Alberts, is
2 Dr. Alberts here?

3 DR. ALBERTS: Yes.

4 DR. FERGUSON: Okay.

5 DR. ALBERTS: I am here on my own
6 recognizance. I am a professor of medicine and
7 pharmacology and public health at the University
8 of Arizona, associate dean for research in the
9 College of Medicine. And in terms of my
10 experience, I am the chair of the gynecologic
11 cancer committee for the Southwest Oncology
12 Group, and have been since 1977. I also chair
13 the cancer prevention and control committee in
14 the Gynecologic Oncology Group.

15 I came to the University of Arizona
16 in 1975 to help Dr. Sid Salmon develop an assay
17 that could individualize chemotherapy for
18 patients with a broad variety of tumors. I must
19 say that it's a sad note that I'm here today,
20 because Dr. Salmon died October 6th, of
21 pancreatic cancer. But I think his spirit is
22 here and in fact, he pretty much developed this
23 field.

24 I will point out that since 1975, the
25 options, the possibilities for treating ovarian

00143

1 cancer are tremendously increased, that's my
2 expertise, ovarian cancer, and in fact, it's a
3 very confusing field. People might want to make
4 you think that it's a simple field in terms of
5 selecting agents. There are 22 drugs that are
6 FDA approved that have activity for ovarian
7 cancer, 11 of them are specifically approved for

8 ovarian cancer, and it is absolute chaos
9 certainly in the second line treatment of these
10 patients to determine what drug should be used
11 for any one patient. And I can assure you that
12 physicians are not infallible in this situation.
13 On the other hand, I think what you've heard
14 today is that the tests that we have available to
15 us can lead us out of the wilderness in
16 relationship to these problems.

17 Now I think, I am very impressed with
18 the presentations today. I mean, I know where I
19 would vote on this. There is acceptable quality
20 control and reproducibility, acceptable accuracy,
21 and acceptable clinical utility of these tests,
22 and this has been shown over and over and over
23 again, for a variety of tumor types. We know
24 that drugs that don't work don't help people.
25 And certainly, if we can identify at least those

00144

1 drugs that are not active, and not give them to
2 patients, we're not going to at least harm those
3 patients. Giving inactive drugs to patients is
4 harmful, and it's cost ineffective.

5 I think Mr. Stein very eloquently
6 pointed out that if a patient was given the
7 opportunity to really understand what the options
8 were, there is no question that they would want
9 to be treated according to the best knowledge
10 that existed for them on the basis of their
11 tumor. There are always questions about risk
12 benefit concerns, palliation, quality of life,
13 and when you have assays that are 99 percent
14 accurate in identifying inactive drugs, we've got
15 to be serious about taking these results to
16 heart.

17 In this very same city, just, I think
18 it was just exactly a year ago, actually not even
19 that, nine months ago, at Mercy Hospital, I
20 participated in a symposium, a gynecologic cancer
21 symposium, and I was asked to speak on this:
22 Drug resistance assays, when possible, should be
23 used to guide primary therapy of ovarian cancer,
24 and I was asked to speak on the pro side. It was

25 sort of a randomized way in which speakers were

00145

1 selected.

2 I finished this talk asking the
3 audience, which were, there were about a hundred
4 gynecologic oncologists in the audience, if
5 you're sitting in your office and you have
6 specific information on the tumor of the patient
7 that you're treating that shows that nine out of
8 those ten drugs in the second line treatment are
9 associated with extreme drug resistance, and one
10 of these drugs is associated with sensitivity,
11 and you're going to see that patient in five
12 minutes, would you choose to look at that data,
13 would you be interested in that data, or would
14 you like to avoid that data? A hundred percent
15 of the people said of course, they would take
16 into consideration the data that were presented
17 in the laboratory report from a valid lab. I won
18 the debate, by the way.

19 Well, I just want to sum up. I'm sort
20 of the summary speaker here. Drs. Weisenthal,
21 Hoffman and Bosanquet have all shown survival
22 advantage for test sensitive versus test
23 resistant drugs. Dr. Fruehauf pointed out to the
24 accuracy of the extreme drug resistance assay, 99
25 percent to identify ineffective drugs, and that

00146

1 test results are valid across a whole variety of
2 tumor types and drug classes, and finished with a
3 discussion of poor breast survival with test
4 resistant drugs. Dr. Hoffman talked about GI
5 cancer survival being poor with inactive agents,
6 and I think that's really impressive, with
7 gastric cancer especially. And finally, Dr.
8 Bosanquet's presentation that you've just heard,
9 showing again, poor survival with chemoresistant
10 disease.

11 And I just -- I am not going to belabor
12 this any further. I am going to give you my
13 conclusion slide. In vitro drug response assays
14 for cancer specimens have definitely matured with
15 third generation technologies. I was involved

16 with the first generation and I think it's
17 extremely interesting that Dr. Salmon's own human
18 tumor stem cell lab has been converted completed
19 to using tritiated thymidine end point assays for
20 solid tumors. The accuracy, sensitivity,
21 specificity are excellent, and comparable to
22 conventional testing. Results apply to both
23 first line and salvage settings. Cancer is still
24 primarily incurable but many new agents are
25 available with activity. Their selection must

00147

1 be, not just should be, guided by data, but not
2 gut feelings. And unfortunately in oncology
3 today, and I think you're all aware of it, gut
4 feelings are too pervasive in our selection of
5 treatment. These tests should be covered by
6 HCFA.

7 Thank you very much.

8 DR. FERGUSON: Thank you. I hope the
9 panel and the audience and participants will
10 excuse this sort of marathon session, but I think
11 people need to have their say, to present their
12 information.

13 We will go right on to Dr. Nagourney,
14 and I think these next four presentations are
15 going to be allotted 15 minutes each, and we will
16 be just a few minutes late for lunch.

17 DR. NAGOURNEY: First of all, I'd like
18 to introduce myself. I am Robert Nagourney. I
19 am a hematologist oncologist and also founder of
20 Rational Therapeutics, which is a laboratory
21 which applies cell death end points in the study
22 of human tumor biology. I am here by virtue of
23 United Air Lines frequent flier miles, and I paid
24 for my own hotel room.

25 I'm going to try to put this in a

00148

1 slightly different context, as I present. I
2 would like to look at some of the scientific
3 issues that have led me to certain conclusions
4 and perhaps will bring you some of the insights I
5 have gained over about 18 or 20 years of work in
6 this field.

7 I'd like to start off by saying that
8 good medicine always follows good science. When
9 we think, and you have heard a review of the
10 various techniques that have been applied in
11 primary culture studies going back to 1954
12 through more recent techniques. Various
13 investigators have looked at this area and have
14 tried to ccess out mechanisms by which they can
15 assess responsiveness in individual patients
16 based on findings in a laboratory. The
17 underpinnings of this might be described by the
18 equation biomass equals cell growth minus cell
19 death. This equation has been primarily examined
20 when biomass grew in a tumor as a function of
21 cell growth. But I think we are now witnessing a
22 change in that thinking and the focus is now
23 shifted to cell death events.

24 So I'd like to discuss a little bit
25 about advances in our understanding of tumor

00149

1 biology, the concept of cell proliferation end
2 points versus cell death or apoptotic end points,
3 and how they may help us to decipher some of the
4 data. It was said by John Reed in a recent
5 editorial in Journal of Clinical Oncology,
6 October '99, that essentially all traditional
7 anticancer drugs use apoptosis pathways to exert
8 their cytotoxic actions. Thus, drugs that were
9 largely developed for cell growth inhibition and
10 other purposes perhaps really act through
11 different mechanisms. In addition, it is
12 difficult to measure these events using cell
13 growth end points, which was pointed out actually
14 earlier by Shakespeare, who said that the absence
15 of proliferation doth not apoptosis make. That's
16 not actually a true quote, although it sounds
17 like it could be. Don't look for it in the Henry
18 cycle.

19 In any case, what we've really focused
20 on is the concept of an apoptotic event, the
21 induction of cell death in vitro as a predictor
22 of outcome. When Isaac Newton was asked how he
23 discovered gravity, he said by thinking upon it

24 continuously. And between 1990 and 1995, as an
25 in residence faculty for UC Irvine, I really

00150

1 thought on continually what it was that
2 constituted cell death events in a test tube.
3 What could you measure that might allow you to
4 predict a patient's outcome based upon apoptosis
5 rather than growth inhibition?

6 My first stab at this effort was to try
7 to apply the morphologic changes described in
8 1972 by Kirwelli and Curry in their original
9 paper, in this dosed response curve from cells in
10 culture all the way down to the apoptotic and
11 shrunken cells morphologically characteristic of
12 apoptosis. We tried to apply the DNA degradation
13 profiles known as the 180 KBP DNA degradation
14 ladders. We found that to be a relatively
15 difficult method to use, and in fact was not
16 predictive, because ladder profiles are not
17 actually predictive of human tissue in primary
18 culture, but more of a cell line phenomenon.

19 We then examined a different end point,
20 which is the inverted field gel electrophoresis
21 which uses a 50 KBP DNA degradation, and we were
22 able to show that this indeed did correlate with
23 responses in some of these profiles where
24 patients had very excellent responses. This is a
25 gel, inverted field gel of patient's tissues

00151

1 studied following drug exposure, and looking for
2 the 18 hour DNA degradation profile. However,
3 again, this can only be applied in pure
4 cultures.

5 In addition, a variety of papers were
6 showing that some of the end points using DNA
7 labeling, such as the insulin tunnel end points,
8 did not reliably identify apoptotic cell death.
9 We moved from that area then, and during the same
10 time, into membrane perturbations, alterations of
11 mitochondrial function and membrane potentials
12 that might enable us to predict responses based
13 on what was occurring at the metabolic level.
14 And although again, these were very interesting

15 findings, they did not apply broadly to human
16 tissues, because you needed pure cultures, and it
17 was only applicable to, in our studies, the
18 chronic lymphocytic leukemia and leukemias in
19 general.

20 Finally, we moved to some of the DNA
21 markers, some of the mutational events that lead
22 to cancers as modulators of apoptosis, and the
23 morphologic events at the bottom can be
24 characterized by the interplay between positive
25 and negative modulators of apoptosis. We are

00152

1 currently completing and have submitted a study
2 on the correlation between BCL XL overexpression
3 and drug resistance in human primary cultures.
4 However, again, this is a system that can only be
5 applied in very pure cultures, and did not allow
6 us a practical and high throughput end point.

7 All of the assays that we have been
8 interested in are what I would describe apoptosis
9 based or cell death based, and these have been
10 described so far for you as DiSC, or the one that
11 we applied which is a modification thereof, the
12 apoptotic, MTT, ATP, FMCA, and others. My
13 principal work has been with the differential
14 staining technique, which takes cells in culture
15 for three days, and then assess the
16 responsiveness of the tissues based on the
17 ability to induce apoptosis morphologically and
18 metabolically.

19 Now, as a comparator, I thought it
20 might be of use to look at an older technique,
21 the soft agar cloning in a preclinical setting
22 and compare it with a cell death end point for a
23 drug that we now know is used in the treatment of
24 ovarian cancer. In 1992 a study was published
25 using the soft agar assay, where they examined

00153

1 the ability of a drug, Topotecan, to induce cell
2 inhibition or growth inhibition culture, and they
3 showed under these conditions of culture that 83
4 percent of renal cell cancers were sensitive,
5 leading to a clinical trial conducted at Sloan

6 Kettering by Dr. Ilsin, in which 15 patients
7 received Topotecan for renal cell carcinoma,
8 without single response.

9 At about the same time, we were
10 applying the cell death measures in a laboratory
11 setting to the same drug under similar
12 conditions. And we found quite surprisingly, in
13 1994 and presented in 1995, that ovarian cancers
14 appeared to be a particularly good target. Two
15 years later, and three years later, several
16 published studies revealed the same, and the
17 observation led to an FDA indication for that
18 drug. So in a growth cell assay, a very
19 erroneous result was predicted, whereas in a cell
20 death assay, a more robust end point, a very
21 accurate prediction.

22 In point of fact, these observations
23 have continued through the years that I've
24 applied this laboratory test to a variety of
25 observations. Starting with alpha interferon

00154

1 synergy, which was subsequently proven in 1996,
2 12 years later, by Wadler, et al., to show that
3 this was occurring by virtue of up regulation of
4 thymidine phosphorylase. The original
5 observation of chloradioxydenasene's activity in
6 hairy cell leukemia was conducted in my
7 laboratory at Scripp's Clinic, subsequently
8 proven by Larry Purot, published in the New
9 England Journal of Medicine, providing a 90
10 percent complete remission rate in hairy cell
11 leukemia. Our observations of pure synergy using
12 a cell death end point, have led to a point where
13 Howard Hockster has reported with ECOG a 100
14 percent response rate with fludarabine plus
15 Citoxan in patients who received a combination
16 really which was found years earlier in our
17 laboratory. Our observation that
18 chloradioxydenasene in blastic CML was
19 subsequently confirmed in a clinical trial
20 showing a 47 percent response rate in patients
21 with blastic CML.

22 An observation which I'm particularly

23 proud of, and one which you've heard consistently
24 and which I think functions as a perfect example
25 of the discriminating and robust nature of this

00155

1 assay was my observation in the laboratory
2 between 1992 and 1995, reported originally in
3 1995, of the true synergy between Gemcitabine and
4 Cisplatin. When we were first provided this
5 drug, LY 1808, that drug didn't even have a
6 name. We began to study it, and found that there
7 was an enormous amount of synergy. That has now
8 been confirmed, as you have heard repeatedly, in
9 a variety of studies, having been approved for
10 FDA indication in non-small cell lung cancer.
11 However, ovarian, breast, and other diseases are
12 rapidly showing the same data that we have
13 generated back as far as four or five years ago.

14 And finally, as I've mentioned, the
15 Topotecan data. I would like to use the
16 Gemcitabine data which you have seen, and so
17 eloquently provided by Dr. Nalick and also by the
18 gentleman who had presented with pancreatic
19 cancer, Randy Stein, who was the beneficiary
20 through Dr. Weisenthal's laboratory of this
21 observation.

22 When I describe this interaction, I
23 would like to point out that if you use the
24 laboratory test as the only indicator of where
25 the FDA should find use of this, you would find

00156

1 that bladder carcinoma, which has now been
2 published, to provide 60 and 70 percent response
3 rates, with substantial numbers of complete
4 remissions. Bladder cancer is one of the best
5 candidates for this combination. Ovarian cancer
6 is the second best when you use a gradation of
7 IC-50, median IC-50 as the determinant, ovarian
8 cancer would be your second choice.

9 Interestingly, sarcoma, non-small cell
10 lung cancer, for which there is an indication,
11 and interestingly breast cancer, which I will
12 show you some data on, the only data actually in
13 the world on this point. When we worked on this,

14 we tried to come up with laboratory based
15 therapeutics that might mimic the laboratory
16 testing, and so I'd like to show you a couple of
17 things that have grown directly from the lab
18 testing. And this is ovarian cancer data, some
19 of which you've heard. I just presented this
20 last Thursday in New York, and this is a Phase II
21 trial and completion. The interesting point of
22 this is that of 17 patients who had failed up to
23 six prior chemotherapy regimens largely deemed
24 untreatable, our response rate overall has been
25 70.6 percent, with now four complete remissions.

00157

1 Most striking in this has been the observation
2 that two of two complete remissions were obtained
3 in patients who had failed prior bone marrow
4 transplants. In addition, platinum resistant and
5 platinum sensitive patients have been shown to
6 respond.

7 I think the data indicating that the
8 laboratory assay correlated with response is
9 provided here, showing that those patients who
10 responded to the combination were the most
11 sensitive, versus the non-responders, less
12 sensitive, and a statistically significant
13 difference between the two groups. When we
14 extended this on the basis of the laboratory, in
15 a disease that is not used, in which these drugs
16 are not applied -- in fact, the only data
17 available if from our laboratory, and I can't
18 present it in a formal way because it's still in
19 submission for review for publication, but the
20 only data existing on this comes from our
21 observation in the laboratory, which indicated
22 that a disease for which platinum and gemcitabine
23 are not widely used would be an excellent
24 candidate for this treatment.

25 In our experience in this Phase II

00158

1 trial of 30 patients, we have had an overall
2 response rate of 30 percent and again, very
3 interestingly, two of four post-bone marrow
4 transplant patients have shown objective

5 responses. When we examine survival in this
6 group of patients, you can see that the patients
7 who were found assay sensitive in the green line,
8 versus the patients who were found assay
9 resistant in the pink line, statistically
10 significantly differed, and this cut across other
11 statistical considerations, including HER-2
12 positivity and number of prior treatments and
13 performance status. The single strongest
14 predictor of these patients treatment response
15 was in fact their sensitivity in vitro.

16 So when we look toward the hardest
17 evidence that we can provide to a committee like
18 this as to what it is that would provide you
19 evidence to move forward on an approval, I think
20 this is sort of a work in progress, with a lot of
21 very encouraging observations. You've already
22 heard from Dr. Bosanquet some very elegant data
23 that he's generated over the years. This most
24 recent paper, I think the most compelling,
25 published in the British Journal of Hematology in

00159
1 '99. Dr. Hoffman has presented you some very
2 exciting data in a small study with colorectal
3 cancer. I've given you some data on breast
4 cancer and ovarian cancer, much of which is in
5 progress.

6 What I'd like to point out, however, is
7 that in the coming years, there will be trials
8 under GOG auspices, a trial in development right
9 now through a group in New York for an assay
10 directed ovarian cancer first line trial, and a
11 meta-analysis, part of which Dr. Weisenthal has
12 provided to you, as an indication of the merit of
13 this and the developing data to support the merit
14 of this in the years to come.

15 I leave you with a quote from Albert
16 Einstein, who said that in a good mystery story,
17 the most obvious clues often lead to the wrong
18 suspect. In our attempt to understand the basis
19 of nature, we find similarly that the most
20 obvious intuitive explanation is often the wrong
21 one. Thank you.

22 DR. FERGUSON: Thank you. Okay. Dr.
23 Kern?

24 DR. KERN: I appreciate the opportunity
25 to address the committee today. I want to first

00160

1 reveal the financial support received in the
2 early research development of the test, and also
3 mention that I left the academic world in 1988 to
4 join Oncotech as its first director of
5 operations, a commercial firm. I left Oncotech a
6 year ago and now I am a paid consultant for
7 ImPath, who sponsored my trip and who currently
8 markets the test as a drug resistant assay.

9 We consider in our laboratory, there
10 are two aims of predicting response to
11 chemotherapeutic agents. One of course is to
12 improve response rates and perhaps even survival
13 by selecting active agents. These are the
14 so-called chemosensitive assays that most labs
15 were concentrating on in the 1980s. However, in
16 our laboratory we decided to concentrate on the
17 resistance aspects of the assay, that is, trying
18 to reduce the side effect by ruling out agents
19 that would not work clinically.

20 One way we tried to achieve this was to
21 use very high drug concentrations in the
22 laboratory. By doing this we used an average
23 exposure of five to ten times what is achievable
24 in the clinic as a maximum tolerated dose. We
25 reasoned this would not only increase the

00161

1 predictive accuracy for resistance, but also
2 would minimize the likelihood that a clinically
3 active drug would be overlooked. In other words,
4 we wanted to see, look at the aspect of, would
5 the patient be harmed if the test was faulty or
6 gave false results.

7 Now in a study conducted at UCLA and
8 published in 1990, we looked at correlations from
9 450 patients. In this slide what I'm showing is
10 the data where each patient is plotted as a
11 single dot. The patients were tested in the
12 laboratory with the same chemotherapy to which

13 they were treated in the clinic and the results
14 are plotted here, first as responders, as
15 complete or partial responders, and in the column
16 on the left, non-responders. And we looked at
17 the assay results. At the top are those patients
18 that were very sensitive in the laboratory, and
19 in the bottom those patients who had tumors very
20 resistant to the chemotherapy to which they were
21 tested.

22 And in this bottom group, which we
23 called extreme resistance, a term coined by
24 Dr. Weisenthal and myself in 1990, we found there
25 was only one responder in this group of 127

00162

1 patients. In other words, the assay was 99
2 percent accurate in predicting clinical failure.
3 Also, I wanted to point out that the assay did
4 not predict clinical resistant patients, but
5 rather, this data is tumor and drug specific. In
6 other words, the data is for the exact drugs to
7 which the patients were given. They were tested
8 in laboratories with the exact drugs that we used
9 in the clinic, a very important point.

10 Now there were different levels of
11 evidence to judge laboratory tests as well as
12 therapeutics. It has been proposed that the
13 National Cancer Institute standards of levels of
14 evidence might be applied to laboratory tests. I
15 just want to point out that these levels of
16 evidence weren't designed, however, for
17 therapies, new therapies. And I believe more
18 appropriately would be to look at the levels of
19 evidence proposed by the CDC for evaluating
20 clinical tests. One is to look at the accuracy
21 and precision of the test, its clinical
22 effectiveness, the clinical context in which the
23 test is used, including, do the patients have
24 free access to the test, turnaround time and
25 cost, the practical values of the test, and also,

00163

1 what is the impact if the test is wrong? What
2 would be the effect of, if we gave medically
3 misleading information?

4 One of the classical ways of looking at
5 the effectiveness of a laboratory test is to look
6 at its receiver operating characteristics. In
7 this slide I will show the receiver operating
8 characteristics first for the assay's ability to
9 predict sensitivity, that is, predict active
10 drugs. Now, the use of the test can be
11 determined by how far it deviates from this
12 diagonal line. If all the data fell upon this
13 diagonal line, the laboratory test would be
14 totally worthless. By measuring this area under
15 the curve, one can get an estimate of how
16 worthwhile the test is.

17 Also, the worthlessness or usefulness
18 of a test can be estimated by the prevalence of
19 the marker or the facts that the test was
20 designed to measure. In this case we're trying
21 to measure resistance. So in a clinical setting
22 where you're looking at a high prevalence of
23 resistance, that is, in very refractory cancers,
24 the test is not very good in identifying active
25 agents. The red line shows the ability of the

00164
1 test to detect resistance, clinical resistance.
2 When there is a high -- I'm sorry -- when there's
3 a low prevalence of resistance, that is, for
4 those cancers that are extremely sensitive to
5 chemotherapy and highly curable, the assay is not
6 very good at predicting drug resistance or
7 clinical failure.

8 But in the real world, knowing that
9 most of the tumors fall in a central range here,
10 the test is extremely good for both identifying
11 active drugs and also inactive drugs. In fact,
12 it's almost perfect in this range of identifying
13 drugs that will fail in the clinic.

14 Another way of looking at the test is
15 to look at its negative and positive predictive
16 values. I have plotted across -- the predictive
17 accuracy, the positive predictive accuracy are a
18 number of data sets, first, from one laboratory
19 shown in green, against a number of different
20 tumor types. Second, I have plotted results from

21 a number of different laboratories, mostly
22 representing one tumor type. For example, Dr.
23 Albert's in ovarian cancer, and so on.

24 You see the assay is extremely accurate
25 in predicting clinical resistance. The negative

00165

1 predicted value here I plotted as its converse.
2 That is, 100 minus the negative predicted value.
3 So the test showed about a 90 to 100 percent
4 accuracy in predicting clinical failure.
5 However, the prevalence of resistance had a
6 dramatic effect on the ability of the assay to
7 predict drugs that would work in the clinic.

8 I also want to point out that although
9 there is no single gold standard about which test
10 is better, this data indicates an incredible of
11 interlaboratory reproducibility. Using this kind
12 of data analysis, you can compare results from a
13 number of different laboratories and in the hands
14 of experienced investigators like those
15 represented here, the tests are remarkably
16 similar. Also, the test is extremely accurate.
17 All the tests show extreme accuracy in predicting
18 clinical failure.

19 Looking at standards to judge the
20 medical usefulness of the test, which is of
21 course your job, knowing that the drug resistance
22 assay predicts clinical failure with extremely
23 high accuracy, the test can be used, first of
24 all, to avoid giving worthless treatments to
25 cancer patients, and to help the patients avoid

00166

1 the terrible side effects of useless
2 chemotherapy. The assay is also cost effective
3 because when you eliminate worthless therapies
4 and useless side effects, it obviously makes
5 economic sense.

6 I want to just take a minute to
7 indicate what I think are some of the reasonable
8 indications for the use of this committees.
9 Remember, we talked about the test appears most
10 worthwhile in the real world represented by the
11 breast cancers, the ovarian cancers, the lung

12 cancers. In breast cancer, even if the test was
13 only used to identify adreomyecin resistant
14 patients, and to switch those to, let's say CMF
15 or an alternative therapy, the test would be cost
16 effective if you only identified 10 percent of
17 the adreomyecin resistant patients and switched
18 them to CMF.

19 Here we talked about Gemcitabine.
20 Certainly useful in lung cancer, but if the test
21 was only able to identify 3 percent of the
22 patients that were Gemcitabine resistant and
23 switched them to platinum etoposide or platinum
24 vinorelbine, the test would pay for itself.

25 Also, equally important are where the

00167

1 tests may not be very useful, and two particular
2 examples are in cancers where there is an
3 extremely high prevalence of drug resistance, for
4 example, in renal cell carcinoma, the test would
5 not be very useful. That doesn't mean that it's
6 a bad test. The test still has the same
7 sensitivity and specificity, it just means that
8 there are no worthwhile drugs, no useful drugs
9 for treating, drugs like kidney cancer. On the
10 other end of the spectrum, testicular cancer is
11 clinically very responsive, very low prevalence
12 of resistance, the test is probably not needed in
13 that clinical study.

14 So I want to stop here and just
15 summarize. First, let's talk about how the
16 patients benefit from drug resistance testing.
17 Chemotherapy causes a lot of suffering for cancer
18 patients. The suffering is tolerable if the
19 treatment leads to prolonged survival. But
20 wouldn't it be beneficial to the patients if they
21 could be spared the needless suffering of
22 worthless chemotherapy?

23 Let's consider the cost benefits of the
24 test. The Medicare system could benefit
25 immediately if it didn't have to pay for drugs

00168

1 that are useless in the clinic. So don't you
2 think Medicare would benefit economically by

3 using the drug resistance tests?

4 And finally on a, consider it a
5 personal note. Imagine if a wife, spouse, parent
6 or loved one should be unfortunate enough to be
7 diagnosed with cancer. Would you not want that
8 loved one to have the benefit of drug resistance
9 testing? So with that in mind, I respectfully
10 ask the committee, please do not deny America's
11 seniors the access to the proven benefits of the
12 drug resistance test. Thank you.

13 DR. FERGUSON: Thank you, Dr. Kern. I
14 think our next speaker, rather than Dr. Bailes,
15 will be Dr. Hazie.

16 DR. HAYES: Hayes.

17 DR. FERGUSON: Hayes, I'm sorry, from
18 Georgetown.

19 DR. HAYES: I'll introduce myself. I
20 know who I am. I am Dr. Daniel F. Hayes. I'm a
21 medical oncologist. I'm the clinical director of
22 the breast cancer program at Georgetown. I'm
23 also a member of the American Society of Clinical
24 Oncology.

25 I have a couple of credentials. One of

00169

1 those is that I am the chair of the solid tumor
2 and correlative science committee of the Cancer
3 and Leukemia Group B, one of the major
4 multi-institutional groups funded by the Federal
5 Government. Our committee is essentially the
6 tumor marker committee of the CAGLB. And I'm
7 also a member of the American Society of Clinical
8 Oncology tumor expert guidelines panel that was
9 convened roughly five years ago. I am not the
10 chair of that. And I'm also hoping that I will
11 have some slides here, which is why I'm wasting
12 your time mumbling around here until this thing
13 gets up and running.

14 And finally, I apologize. Dr. Bailes,
15 who is the president of the American Society of
16 Clinical Oncology meant to be here today and was
17 unable to do so. And Dr. Dan Van Hoff also meant
18 to be here and also was unable to be here. And
19 finally, I have no conflicts of interest with any

20 of the companies that have been presented here,
21 not performed any research with any of them. I
22 guess my only conflict of interest is I am a
23 member of the American Society of Clinical
24 Oncology.

25 Finally, while this thing is hopefully

00170

1 waiting to boot up, I will say, because I wrote
2 some notes in case my slides didn't work, that
3 the American Society of Clinical Oncology is
4 neutral on this issue and is not here to serve as
5 either a proponent or opponent of your decision
6 to reimburse for any of these assays in the
7 elderly population. Rather, we are here to make
8 a plea regarding reimbursement for treatment, and
9 in fact this was brought up by the last speaker,
10 and this is one place where I believe we would
11 contend that that would be an error, at least
12 with the currently available data.

13 Thank you. I apologize for the time
14 it's taking to do this. So as I said, we are
15 actually neutral on this issue. We are actually
16 very interested and believe there is a
17 substantial amount of interesting data that are
18 coming out of the various studies, much of what
19 you have seen today. We appreciate the
20 remarkable progress in technology that has
21 occurred over the last 15 years since the
22 original publications by Salmon, et al., but we
23 remain very concerned about the reliability to
24 exclude therapy, especially in relationship to
25 combination chemotherapy.

00171

1 We have been very interested in
2 establishing guidelines for the members of our
3 society. There have been at least two guidelines
4 panels related to tumor markers. One of those
5 which was specifically related to tumor markers
6 for breast and colon cancer, the most recent
7 update of that was published in JCO in 1998. A
8 second related to tumor markers, but not
9 specifically excluding, or specifically related
10 -- the specific focus on it was the follow-up of

11 primary therapy in breast cancer, again,
12 published in 1997.

13 In both of these, particularly in the
14 first, we spent a great deal of time trying to
15 decide when a tumor marker is truly ready for
16 prime time, something I believe you are being
17 asked to contend, and in fact the discussions by
18 the FDA this morning I found very interesting.
19 We like they found there are very few rules about
20 how to use a tumor marker in clinical practice,
21 unlike how to use therapeutics. And our
22 predecessors 30 to 40 years ago, Fry, Holland,
23 Karnoski, established rules and guidelines about
24 how to talk to each other, what is a Phase I,
25 what is a Phase II, so on and so forth, what's a

00172

1 complete response. Those sorts of things have
2 not been established well for tumor markers, and
3 we found it very confusing.

4 Ultimately, one of the things that we
5 suggested was that the results of the tumor
6 marker must be known to influence the decision to
7 result in improvement in overall survival,
8 disease free survival, quality of life or cost,
9 echoing many of the things that have been said
10 here today.

11 Also echoing many of the things that
12 have already been said, we noticed an astounding
13 amount of heterogeneity among tumor marker
14 studies, and these are for many reasons. Patient
15 selection, different assay issues, the use of
16 different drugs; for example in this case, we've
17 been talking about the difference between the use
18 of single agent therapy and multiple combination
19 therapy. And probably importantly, the
20 difference between the settings, whether or not
21 these sorts of assays should be used to direct
22 the therapy in the adjuvant setting where you
23 don't have an identifiable end point immediately
24 but rather, must wait for progression or
25 survival, or the use of metastatic where you have

00173

1 things like immediate quality of life and

2 immediate response rates.

3 These are some conceptual slides that
4 we developed relative to any tumor marker, and
5 that is a pure prognostic factor, for example,
6 separates two groups of patients in the absence
7 of therapy or in the presence of therapy
8 equally. It does not tell you whether or not
9 that therapy will be helpful but rather, it tells
10 you how the patients will do. Often, the
11 difference between prognostic and predicted
12 factors gets mixed in many papers and also in
13 many discussions. We felt it important to point
14 this out.

15 So that for example, these curves are
16 clearly separate, but they are equally separate
17 in the absence or presence of therapy. It does
18 not tell you whether these patients should be, or
19 how they should be treated, it just might tell
20 you that they should be treated because their
21 prognosis is worse.

22 A pure predictive factor on the other
23 hand, the curves are not separated at all in
24 terms of prognosis in the absence of this
25 specific therapy. I put no therapy here, but in

00174

1 fact, we could be talking about the specific
2 therapy one is concerned about, whereas in the
3 presence of that therapy, the curves are
4 separated. In this case, if it's a predictive
5 factor for sensitivity to therapy, then the
6 curves are separated, with those of a factor
7 positive are doing much better than those who are
8 factor negative.

9 Indeed, the real issue that we're
10 discussing, I believe here today, is the
11 separation between these curves, not whether or
12 not they are statistically significantly
13 separated. That is what the P value tells you.
14 What really tells you is the magnitude of the
15 difference, and in fact this has already been
16 brought up earlier at least once today. And that
17 is, for example, many of us would be very willing
18 to use this marker to separate patients into two

19 groups, and treat them differently, especially if
20 the toxicities of the drug are high. Whereas in
21 this group of patients, we would probably all
22 treat this group the same way we treat this
23 group, because the magnitude is not large.

24 Now in order to really assess the
25 utility of a predictive factor, it requires a

00175
1 control group that did not receive the therapy.
2 That is of course best done in the presence of a
3 prospective randomized trial in which biases are
4 minimized because of the randomization.
5 Historical controls are acceptable in some cases,
6 but they are fraught with the usual biases, that
7 is, comparing the outcomes of your patients with
8 those who did not receive the therapy in
9 non-randomized fashion. The use of response rate
10 gets confusing and in fact, it assumes a
11 historical control in which no therapy, frankly,
12 equals no response. We must assume that patients
13 who are not treated will not have their tumors
14 regress. That's not always true, but often it
15 is, and in general, this is a relatively fair
16 assumption.

17 So then for example, if we take what I
18 believe the best predictive factor in oncology,
19 and that is S Receptor and Tamoxifen, it is both
20 a prognostic and a predictive factor, which makes
21 it confusing. In the absence of therapy, ER
22 positive patients do slightly better than the ER
23 negative patients, but in the presence of
24 therapy, ER positive patients do substantially
25 better than ER negative patients. In this case I

00176
1 have used Tamoxifen as the therapy, because it's
2 the one for which we have the most data.

3 And in fact, one could begin to use
4 these sorts of relative differences and develop
5 overview analyses of the relative risk, so that
6 in this case, one means therapy is no better than
7 therapy in the presence of a randomized trial in
8 which the patients are randomized, the therapy
9 are not. And then we have a 10 percent

10 difference, a 20 percent benefit, a 30 percent
11 difference, or a 40 percent reduction in the odds
12 of the event, in this case let's say it's a
13 recurrence. A weak predictive factor may split
14 these patients apart statistically, but may not
15 be important clinically, since both groups of
16 patients benefit. A moderate predictive factor
17 splits them further apart and a strong predictive
18 factor splits them much further apart, so that
19 one might not treat these patients, and one is
20 very likely to treat these patients.

21 And we came up with what we called a
22 relative predictive factor, and that is just the
23 relative odds of response in the positive group
24 divided by the relative odds response -- I'm
25 sorry, I have this running on battery. Okay.

00177

1 Well, I made the point.

2 And then one might say, all right, we
3 will discard those that are very weak, consider
4 those that are intermediate, and accept those
5 that are very strong. And of course, what we do
6 here, this is highly subjective, depending on the
7 toxicities of therapy and the patient
8 perspective; there are some patients who would
9 accept therapy regardless of the toxicity in the
10 presence of any benefit, and others who would be
11 more thoughtful and say I'm willing to give up
12 some benefit in order to avoid some toxicities.

13 Again, if we use ER in the adjuvant
14 setting to predict relative benefits from
15 Tamoxifen, the proportional reduction from the
16 last Oxford overview for adjuvant Tamoxifen
17 versus nil, and those were ER poor, was 0.06. In
18 other words, there was a 6 percent proportional
19 reduction in the odds of recurrence and death,
20 whereas in those that were ER rich, it was nearly
21 50 percent, and the relative predictive factor
22 for ER for Tamoxifen in the adjuvant setting in
23 this case was well over what we would consider
24 acceptable for routine clinical utility, and in
25 fact S receptor is used very much in that

00178

1 setting.

2 So the ASCO view of these proceedings
3 are that we believe in vitro chemosensitivity
4 assays are promising. We believe the current
5 data are insufficient to withhold potentially
6 effective drugs. Perhaps in the metastatic
7 setting these might be very helpful. In the
8 adjuvant setting, I really believe that we need
9 prospective clinical trials to address the issue,
10 because all adjuvant therapies are empiric by
11 definition, since one does not have disease to
12 measure at the time.

13 There is a technology assessment
14 planned by ASCO to be convened in the winter,
15 this winter 2000. We hope that by next summer,
16 the results will be available and published. And
17 we advise against noncoverage for agents found to
18 have drug resistance for individual patients.

19 Thank you for your time and again, I
20 apologize for the inconvenience of the
21 technology.

22 DR. FERGUSON: Dr. Loy?

23 DR. LOY: I'm Dr. Bryan Loy. I'm a
24 carrier medical director. I'm a guest of the
25 Health Care Financing Administration. I don't

00179

1 need my slides to get started. In the interest
2 of time, I will proceed onward.

3 I am a part B carrier medical director
4 or CMD for the State of Kentucky. In the
5 interest of time, I will go ahead and start here
6 without my slides. I just wanted to get
7 started. I am not dependent on my slides,
8 because my presentation is of a different tact
9 and not of a scientific nature.

10 I'm a part B carrier medical director
11 for the State of Kentucky, or CMD. I appreciate
12 being here and I am very interested in these
13 presentations. I am going to go to the end
14 because in my role I will be asked to implement
15 or execute any national coverage policy decision
16 that results from these deliberations. My
17 concerns are the appropriate use of these

18 technologies for the treatment of patients, but I
19 am also concerned about the possible
20 vulnerabilities that a national coverage policy
21 can create that could result in the
22 misapplication of these technologies.

23 So the intent of my presentation is to
24 describe the approach that I take at the carrier
25 level to assess the need for policy development

00180

1 for new technologies and my carrier, and to
2 discuss the impact of implementation of national
3 policy on the carrier medical director, and to
4 discuss some of the dilemmas that may arise as a
5 result of the outcome of these deliberations, and
6 then finally, to present my prospectus regarding
7 human tumor assay systems.

8 And the reason I am interested in
9 presenting it in this way is because I think it's
10 necessary for the panel to understand that this
11 won't be a yes no answer to a question. It won't
12 be a yes, we will have coverage, no, we will not
13 have coverage. Somehow, carriers and carrier
14 medical directors will be asked to somehow
15 implement a policy decision that is both
16 reasonable and necessary in accordance with the
17 law.

18 Let me start off by describing the
19 environment CMDs work in as it pertains to
20 medical policy, whether it be national policy or
21 local medical review policy, and this has already
22 been stated. We're currently in an environment
23 where we're dealing with the local medical review
24 policy in the absence of a national coverage
25 policy when it comes to talking about resistance

00181

1 testing.

2 The practice of medicine is ever
3 changing for many reasons, and one of the reasons
4 is the introduction of new technologies or new
5 applications of existing technologies.
6 Technologies can fill voids in the practice of
7 medicine. For example, they can provide
8 previously unavailable information. Technologies

9 can also replace or supplement existing
10 technologies. They can provide better, faster,
11 more complete information. They can direct
12 patient care. The role that technologies play in
13 the treatment of patients is in part dependent on
14 the acceptance and the implementation of the
15 technology by the practicing providers within our
16 jurisdictions. I am fully aware that acceptance
17 and implementation can also be related to
18 reimbursement and coverage decisions.

19 In my CMD role, routinely I will
20 receive a call asking me to confirm my name and
21 address from a technology company, and usually
22 within a week or so I will receive a packet from
23 a company representative asking me to review the
24 material, and in follow-up I will receive a note
25 or a telephone call asking me if we have coverage

00182

1 policy or local medical review policy addressing
2 the technology in question. Less frequently, I
3 will receive requests from treating physicians
4 asking for coverage for a particular diagnostic
5 or therapeutic application of the technology.

6 If there's a significant number of
7 requests for coverage and we are permitted
8 discretion as the local carrier, we will consider
9 making a coverage decision in compliance with the
10 Medicare carrier's manual. Policy at the local
11 level is important in that it describes
12 appropriate coverage within the Medicare program
13 in accordance with the Social Security Act.

14 National policy, on the other hand, can
15 have different effects on the carrier.
16 Regardless of the number of requests for
17 coverage, local carriers are expected to
18 implement all national policy of the carrier, and
19 therefore, the same national policy can have
20 different effects on different carriers. And
21 here's why: For carriers in states that have
22 providers already utilizing the technology as we
23 have heard described today, when this is a topic
24 of a national policy, well then, the carriers and
25 the providers will have an interest in the

00183

1 coverage and the utilization and the pricing
2 language in the policy, and how the carrier
3 implements the policy. For carriers in states
4 whose providers do not utilize the technology,
5 the carriers do not receive claims and therefore,
6 there is less interest in the coverage decision.

7 For providers who do not utilize the
8 technology, creating policy creates a possible
9 future benefit. The policy becomes relevant only
10 when the providers are utilizing the technology
11 and billing the carrier. Over time, technologies
12 gain acceptance by providers and hopefully gain
13 acceptance of national organizations. Many times
14 national organizations will publish guidelines,
15 position papers, et cetera, describing the
16 appropriate use of the technology for patient
17 care. When these guidelines and positions are
18 supported by scientific evidence, practice
19 patterns usually become a national uniform
20 standard of care. This is not always the case.

21 Sometimes technologies fall out of
22 favor, they do not gain acceptance, or are
23 replaced by better technologies. Unfortunately,
24 sometimes coverage decisions are considered
25 before practice patterns utilizing technologies

00184

1 are firmly established, and the policy is
2 developed whether they be national or local
3 carrier policies, may be premature.
4 Subsequently, indications can be added, off label
5 use established, as additional research results
6 become available. Provider acceptance of the
7 technology can also change, and some providers
8 may utilize the technology different than
9 providers in other states, and the standard of
10 care can change dramatically as a result of these
11 refinements.

12 These variances can quickly render
13 policies obsolete. Creating premature policy
14 that is silent on evolving uses of new
15 technologies can tend to work disparate coverage
16 between the states. If a national policy is

17 silent on an evolving use of the technology, then
18 the local carrier has to make coverage decisions
19 in response to the provider inquiries when they
20 arise. This commonly occurs when off label use
21 of technologies are introduced in the current
22 medical practice. Scientifically based well
23 written national policy can minimize many of the
24 disparities among contractors, and an added
25 benefit is that this process decreases the amount

00185

1 of resources used by contractors to create local
2 medical review policy.

3 In my opinion, the Medicare carrier
4 advisory committee is the appropriate forum for
5 raising and addressing coverage questions
6 regarding human tumor assay systems, as opposed
7 to the local carrier level. I also believe that
8 this is the forum to discuss the scientific
9 validity of the test, and to assess the
10 scientific validity of the clinical applications
11 of these test results for each cancer.
12 Implementing this national policy without
13 operationalizing this national policy at the
14 carrier can create some disparities in coverage
15 if the specific clinical indications are not
16 clearly articulated in the policy. When specific
17 clinical indications are identified, specific
18 codes can be employed in order to create system
19 edits for automating appropriate claims payment.
20 When appropriate frequencies are identified,
21 these parameters can also be implemented for
22 automating appropriate claims payment. Ideally,
23 this results in efficient correct payment of
24 claims.

25 It is my opinion that if the science

00186

1 does not support the clinical utility of the test
2 results, then the policy should specify
3 noncoverage at this time. If the science does
4 support the use of specific clinical applications
5 of the technology, then the policy should clearly
6 specify the indications in the clinical scenario.

7 These indications in the case of a test, could

8 include the clinical setting. For example, in
9 the case of cancer testing, the policy should
10 specify the appropriate cancers and the
11 appropriate times the test should be performed.
12 For example, first line, adjuvant, and/or the
13 metastatic settings. The frequencies of testing
14 and what clinical intervention should have taken
15 place should also be identified in the policy.
16 Again, this should be supported by the science.

17 Implementing narrative for policies can
18 be difficult and, therefore, clinical parameters
19 need to be well defined. If policy language is
20 vague, or subject to multiple interpretations,
21 then this can lead to misapplications of the
22 policy and can give rise to interstate coverage
23 disparities. Moreover, if national policy is
24 silent on an evolving use of the technology, then
25 providers and carriers are left with a policy

00187

1 that won't address these evolving issues. Left
2 unaddressed, these applications must be evaluated
3 by the carrier, and local policy will be created
4 to address these new issues. Again, this can
5 lead to disparate coverage.

6 Policies for technologies in evolution
7 are difficult to write, difficult to implement,
8 and very difficult to maintain. This committee's
9 deliberations will most likely result in one of
10 the following outcomes: A no decision with the
11 possibility of local carrier discretion
12 remaining; a no coverage policy, which can be
13 easily implemented in uniform across carriers; a
14 limited coverage policy which may be supported by
15 current science and lead toward a uniform
16 national coverage, but subject to early
17 obsolescence if applications continue to evolve;
18 and finally, a broad coverage policy, allowing
19 for flexibility for different and evolving
20 practice patterns, but most likely containing
21 vague language.

22 I would like to conclude by expressing
23 my personal prospectus concerning coverage for
24 human tumor assay systems. Human tumor assay

25 systems have been in existence for many decades,

00188

1 but in my community these technologies have not
2 been routinely employed for the treatment of
3 cancer patients. I have reviewed the information
4 supplied by the presenters. These technologies
5 sound quite promising. In my mind, one of the
6 indicators for assessing whether a technology
7 that generates information is needed for treating
8 patients is the number of requests that I receive
9 for coverage of the technology in question from
10 the end user of the information. In this case,
11 it would be the practicing oncologist in my
12 community making the request, not the producer or
13 the laboratory producing this information that
14 would in my mind begin to legitimize the request.

15 I have had no requests for coverage for this
16 type of testing in my state.

17 I have asked some well respected
18 practicing oncologists in my community and have
19 generated little interest in these technologies.
20 Coverage and reimbursement issues have not
21 entered the conversation. The discussions have
22 focused on the controversies relating the in
23 vitro results to the long-term clinical benefit.
24 I then questioned multiple carrier medical
25 directors in my region and throughout the United

00189

1 States. Of those responses, only two states
2 reported claims submission or requests for
3 coverage. I do not see where the clinical use of
4 these types of chemotherapy drug assays have been
5 generally accepted or adopted as a national
6 standard of care. It is not clear to me that the
7 practicing oncologists, or those providing these
8 methodologies are yet in agreement on the
9 clinical applications and the clinical value of
10 these tests.

11 More specifically, questions remain in
12 my mind unanswered as to what point the testing
13 should be used, for what cancers, what clinical
14 scenarios, how frequently these tests should take
15 place. I have not identified in the presenters'

16 packet any position statements, guidelines,
17 et cetera, that would convince me that this
18 technology has matured into a standard of care.
19 Furthermore, even though some evidence supports
20 the use of this testing for specific clinical
21 indications, the evidence supporting a broad
22 national coverage is insufficient, in my
23 opinion. At this time it is clear to me that
24 this technology is not being utilized routinely
25 for medical decision making for most cancer

00190

1 patients. During your deliberations I would
2 encourage all members of the impact panel to
3 envision a finished document that would only
4 incorporate scientifically based or evidence
5 based medicine that is currently applicable for
6 treatment of specific cancer types and their
7 appropriate clinical scenarios. If the science
8 only sufficiently addresses certain aspects of
9 clinical utility, then only allow for that
10 coverage. Allowing flexibility in policy for
11 anticipated future trend allows for possible
12 coverage of misapplications of this technology.
13 In my opinion, the science supporting the
14 clinical applications for these testing
15 methodologies is still evolving, still coming in,
16 and there are many unanswered questions
17 remaining. Thank you.

18 DR. FERGUSON: Thank you, Dr. Loy.

19 It's four minutes to 12. I suppose we
20 could have questions or comments for four
21 minutes. Any of the panel members? Yes, Dr.
22 Sundwall?

23 DR. SUNDWALL: One thing that has been
24 going through my mind this morning as we've heard
25 all these excellent presentations, and that is,

00191

1 how much patient variability is there when they
2 have the same malignancy? Now that may have been
3 addressed, but if it was, it's not clear to me if
4 in fact, once you use this testing, which I think
5 seems to have great value, potential value, but
6 I'm not certain how much variability per tissue

7 type there is from patient to patient, or if in
8 fact once you get help, that there is sensitivity
9 to a particular drug, or combination of drugs,
10 why isn't that applicable across the board?

11 DR. FERGUSON: Did you all hear the
12 question? I guess we can have a comment from one
13 of the presenters. Yes, Dr. Weisenthal?

14 DR. WEISENTHAL: The question that was
15 asked is about disease specific activity versus
16 patient variability. Firstly, clinical
17 heterogeneity with a given disease is an
18 established fact. That's shown by the fact that
19 chemotherapy number one is not universally
20 effective. For example, in the case of a disease
21 like breast cancer, or ovarian cancer, first line
22 chemotherapy will produce a response about 70
23 percent of the time, in the case of colon cancer,
24 only 20 percent of the time. But more telling is
25 the large numbers of patients that fail first

00192

1 line therapy that subsequently respond to second
2 line therapy. And I'm not sure that -- but you
3 heard of five cases today that were presented
4 between me and Dr. Nagourney, five patients who
5 failed high dose chemotherapy with bone marrow
6 transplantation. \$200,000 in therapy. These
7 were patients that never responded to anything,
8 including ultrahigh dose of chemotherapy. They
9 then got an assay and they went into complete
10 remission.

11 In the case of Dr. Nalick's patient,
12 that was someone that failed first line Taxol
13 platinum, failed tandem stem cell transplants.
14 The amount of money that was spent on ineffective
15 therapy for this patient would pay to run my lab
16 for six months. So the issue is that clinical
17 heterogeneity is an established fact. There are
18 many, many patients who fail first line therapy
19 who respond to second line therapy. They should
20 have gotten the second line therapy the first
21 time around.

22 Dr. Bosanquet talked about his patients
23 with fludaribine resistance all died, because by

24 the time they got the fludarabine which didn't
25 work, they were too sick to get anything else.

00193

1 Had they gotten the correct therapy the first
2 time, they wouldn't have been dead. So the thing
3 is, that all the laboratories that do this know
4 that there is a tremendous heterogeneity with any
5 given disease type. Some tumors with -- some
6 breast cancer tumors are very resistant to
7 chemotherapy, some are not. And the same thing
8 holds for the clinic. That's the whole purpose
9 for doing the testing.

10 DR. FERGUSON: Thank you. Dr. Brooks?

11 DR. BROOKS: I have a question for Dr.
12 Kern, who is now with ImPath. Does ImPath have a
13 different type of test, or is it going to offer a
14 certain type of test based on a different
15 methodology?

16 DR. KERN: No. The methodology is
17 basically the test described also by Dr.
18 Fruehauf, called the EDR at Oncotech, or DRA at
19 ImPath. But it's based on the same technology.

20 May I also respond to the prior
21 question for 15 seconds?

22 DR. FERGUSON: Sure.

23 DR. KERN: I can visually show what Dr.
24 Weisenthal was describing. This is a data set of
25 40 consecutive ovarian cancer patients, all

00194

1 previously untreated. You see patient number one
2 at the top, and tested against five different
3 drugs for ovarian cancer. Patient number one was
4 sensitive to carboplatin, resistant to
5 adreomyecin, so on, sensitive to Taxol. Patient
6 number two was resistant to Taxol. You can see
7 the patterns; it's quite different patient to
8 patient.

9 DR. FERGUSON: What cancer was this?

10 DR. KERN: This is ovarian cancer.

11 Yes?

12 DR. BROOKS: Doctor, the question is,
13 I'm not sure what the question was he asked, but
14 the question I would ask, is ovarian cancer

15 endometrioid, cirrus, mucinous, poorly
16 differentiated, you know, et cetera, et cetera?
17 So amongst the histologies of ovarian cancer,
18 would you have similarity?

19 DR. KERN: Well, what you actually have
20 to do is look at the clinical experience. In
21 other words, the tests, we try to reflect what's
22 actually going on in the clinic. For epithelial
23 cancer, it's different from clear cell cancer, so
24 you test different drugs and you look at, again,
25 it has to be tumor and histology specific, and

00195

1 cancer specific, drug specific testing. And you
2 do find in all different types, a great deal of
3 heterogeneity from patient to patient.

4 DR. FERGUSON: Thank you. One more
5 brief comment. Yes?

6 MR. KIESNER: One comment in relation
7 to Dr. Loy's excellent presentation. I think one
8 of the observations that Dr. Loy made was that he
9 within his region doesn't receive a lot of
10 requests for the coverage. I think the way the
11 laboratory industry bills, if we get tissue from
12 a thousand hospitals around the country, the
13 issue of where it's billed is dependent on where
14 the work is done. And so in our case, when all
15 the tissue comes to Irvine, we have to bill the
16 local carrier in southern California, so that's
17 where all the payment questions are directed.

18 A second question is in relation to the
19 technology, there are burdensome drafting
20 requirements. I recognize that, we all recognize
21 that. We sat through the negotiated rule making
22 session last summer. We did have an oncology
23 work group with about 15 people, including HCFA.
24 We were able to deal with those issues; I'm not
25 sure we dealt with them completely, but it is an

00196

1 issue that can be dealt with.

2 DR. FERGUSON: Okay, thank you. I
3 guess like many other things, it starts in
4 California and goes east; is that right? Anyway,
5 I think on that, we will have lunch, and

6 reconvene at 1:00.

7 (The panel recessed for lunch at 12:03
8 p.m., November 15, 1999.)

9 (End of Volume I)

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Transcript of November 15, 1999 Afternoon Session

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00197

1

2

3

4

VOLUME II

5

(Afternoon Session - November 15, 1999)

6

7

8

9

10

HUMAN TUMOR ASSAY SYSTEMS

11

12

HEALTH CARE FINANCING ADMINISTRATION

13

Medicare Coverage Advisory Committee

14

Laboratory & Diagnostic Services Panel

15

16

17

18

19

20

November 15 and 16, 1999

21

22

Sheraton Inner Harbor Hotel

23

Baltimore, Maryland

24

25

00198

1

Panelists

2

Chairperson

John H. Ferguson, M.D.

3

Vice-Chairperson

4

Robert L. Murray, M.D.

5

Voting Members

6

David N. Sundwall, M.D.

George G. Klee, M.D., Ph.D.

Paul D. Mintz, M.D.
Richard J. Hausner, M.D.
Mary E. Kass, M.D.
Cheryl J. Kraft, M.S.
Neysa R. Simmers, M.B.A.
John J.S. Brooks, M.D.
Paul M. Fischer, M.D.

Temporary Voting Member
Kathy Helzlsouer, M.D.
Consumer Representative
Kathryn A. Snow, M.H.A.

Industry Representative
James (Rod) Barnes, M.B.A.
Carrier Medical Director
Bryan Loy, M.D., M.B.A.

Director of Coverage, HCFA
Grant Bagley, M.D.
Executive Secretary
Katherine Tillman, R.N., M.S.

TABLE OF CONTENTS

	Page
Welcome and Conflict of Interest Statement Katherine Tillman, R.N., M.A.	5
Opening Remarks & Overview Grant Bagley, M.D.	10
Chairman's Remarks John H. Ferguson, M.D.	28
Brian E. Harvey, M.D., Ph.D.	30
Open Public Comments & Scheduled Commentaries	

8	Frank J. Kiesner, J.D.	48
	Larry Weisenthal, M.D.	57
9	Randy Stein	92
	Richard H. Nalick, M.D.	99
10	William R. Grace, M.D.	108
	John P. Fruehauf, M.D., Ph.D.	110
11	James Orr, M.D.	127
	Robert M. Hoffman, Ph.D.	131
12	Andrew G. Bosanquet, Ph.D.	136
	David Alberts, M.D.	142
13	Robert Nagourney, M.D.	147
	David Kern, M.D.	159
14	Daniel F. Hayes, M.D.	168
	Bryan Loy, M.D.	178

15	LUNCH	196
----	-------	-----

VOLUME II

Open Public Comments & Scheduled Commentaries

18	Edward Sausville, M.D.	201
	Harry Handelsman, D.O.	227
19	Harry Burke, M.D., Ph.D.	234
	Mitchell I. Burken, M.D.	262

20	Open Committee Discussion	304
----	---------------------------	-----

21	Day One Adjournment	330
----	---------------------	-----

22
23
24
25

00200

TABLE OF CONTENTS (Continued)

VOLUME III

3	Opening Remarks - Introduction	336
4	Open Committee Discussion	337
5	Motions, Discussions and Recommendations	425
6	Adjournment	487

7
8

9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
00201

PANEL PROCEEDINGS

(The meeting was called to order at
1:16 p.m., Monday, November 15, 1999.

DR. FERGUSON: Dr. Sausville?

DR. SAUSVILLE: Good afternoon, all.

And if I could have the first overhead, this says who I am, and the general topic that I hope you're interested in hearing about this afternoon. Anyway, my task this afternoon is to provide an overview, at least from the perspective of the preclinical therapeutics development program of NCI of antitumor drug sensitivity testing. And I will approach this, therefore, from the standpoint of one who uses tests like this, and indeed, in some cases actually tests that have been used for this purpose for the preclinical selection of drugs for more detailed evaluation, as well as from the perspective of an oncologist who has occasionally thought about using these tests in the treatment of patients. Next.

So the basis for this issue in cancer derives directly from the infectious diseases experience, wherein a number of different disease categories, such as tuberculosis, where it's well

00202

1 established that one has to establish that a
2 particular patient's infected bacillus is
3 sensitive to the agents, and a number of
4 non-tuberculosis indications, which would
5 include, for example, pyelonephritis or
6 endocarditis, where it is well established from
7 the standpoint of standard medical practice that
8 such sensitivity tests are that valuable. Next.

9 The assays as applied to cancer ideally
10 would have 95 percent sensitivity and
11 specificity, and short of that goal, would
12 hopefully be better in predicting outcome than
13 the empirical choice of the physician. And the
14 essence of the question from an oncological
15 standpoint, therefore, is whether a particular
16 test conveys information over and above what is
17 implicit in the histologic diagnosis of a
18 patient's tumor. Ideally the test would be
19 biased in favor of detecting sensitivity rather
20 than resisting, for this reason, and ultimately,
21 these tests should be able to demonstrate an
22 impact on ultimate outcome, as opposed to simply
23 response, since in oncology, good outcome begins
24 with the response, it does not end with a
25 response. One ultimately has to have evidence of

00203

1 tangible clinical benefit that changes outcome.
2 Next.

3 So among the specific assays that
4 through the years have been utilized include the
5 by now classical Hamburger Salmon clonogenic
6 assay, wherein tumors that were biopsied for
7 example, were disaggregated, plated in agarose or
8 other solid media after relatively brief
9 exposures to drug, and ultimately colonies
10 counted in 14 days. There have been
11 modifications to this, most notably the capillary
12 tube modification used by Von Hoff and
13 colleagues, and it seems to increase the number
14 of patients for which valuable data are
15 obtained. Modifications of this also include
16 radionuclide based assays, in which radioactive

17 thymidine is added after three days and thus,
18 although it is a soft agar base, one can obtain
19 information after shorter periods of time. And
20 there are also non-agar based assays assessing
21 radionuclide uptake in mass culture. Next.

22 Technical problems with clonogenic
23 assays include a number of artifacts intrinsic to
24 the practice of the assay, including clumping of
25 tumor cells, the potential of growth perturbation

00204

1 from manipulation of potential clonogenic cells,
2 reduced nutrient uptake from nonclonogenic cells,
3 with increase in the size of colonies that grow
4 out in the treated cells. Counting evaluations
5 with a potential large coefficient of variation,
6 and poor cloning efficiencies. And a major
7 limitation in the widespread use of this
8 technique relates to the fact that in many
9 instances, the majority of the specimens are not
10 actually valuable, and there is the inability of
11 this type of assay to score small numbers of
12 resistant cells, which in a clinical scenario are
13 thought to translate new ultimately resistance to
14 therapy, of the sort that is manifest by the
15 subsequent relapse of a patient with drug
16 resistant tumor. Next.

17 In various reviews, actually extending
18 from the initial use of this technique into the
19 early '80s, the cumulative experience is that a
20 relatively small fraction of patients actually
21 have colony growth. And the data that is
22 tabulated here is contained in the references
23 that were indicated. But also, there is the
24 finding that the tests are clearly better at
25 predicting negative or resistant assays, than

00205

1 sensitive assays, such that for example, if one
2 looks at those specimens that were sensitive in
3 vitro as opposed to sensitive in vivo, we have a
4 60 percent true positive, with a range of 47 to
5 71 percent. In contrast to those specimens that
6 were resistant in vitro and resistant in vivo,
7 where there was, as you can see, a 97 percent

8 true negative information. Next.

9 This led to a so-called perspective
10 evaluation of chemotherapy selection utilizing a
11 clonogenic assay, as opposed to the choice of a
12 clinician. And again, this was published by von
13 Hoff and colleagues in 1990 in the Journal of the
14 National Cancer Institute. And in the 133
15 patients randomized in a single agent therapy, of
16 those where the therapy was assigned by a
17 clinician, one had one partial response, and in
18 19 of 68 that were possible to have an assay
19 directed assignment, there were four partial
20 responses. Certainly there was no evidence that
21 this was statistically different and one
22 concluded, or this article concluded, that what
23 one might conceive of potentially a somewhat
24 improved response rate, did not translate into
25 any noticeable effect on survival. And again,

00206

1 approximately a third of the tests could not be
2 evaluated, and there were clearly no evidence of
3 survival in patients either treated according to
4 that which was recommended by the physician, or
5 all patients that were compared versus the test
6 population. Next.

7 Other specific assays which have come
8 to the fore in an effort to meet some of the
9 clear difficulties in the widespread use of the
10 clonogenic assay include the so-called
11 differential standing cytotoxicity assay, or DiSC
12 assay, pioneered by Weisenthal and colleagues.
13 And here, one is essentially assessing the effect
14 on whether or not cells remain alive after short
15 periods of culture after exposure to a drug.
16 Thus, either marrow, buffy coat or a tumor
17 suspension after disaggregation, can be treated
18 with drug for anywhere from one hour to four
19 days. Interestingly, the quantification was
20 aided by the addition of so-called duck red blood
21 cells, which are easily distinguishable
22 microscopically, a dye added, and then after a
23 cytopsin, one can either assess the dead cells
24 per duck red blood cells, or live cells per duck

25 red blood cells, based on the differential

00207

1 staining of live and dead cells with either
2 fast-green, which stains dead cells, or HD, which
3 stains live cells.

4 Over variants of this approach include
5 the so-called MTT assay, which is a dye that
6 depends for its coloration properties as to
7 whether or not it is reduced by living
8 mitochondria, or a fluorescein assay, where live
9 cells take up a dye, hydrolyze to it in a point
10 that is detected by a change in fluorescence.
11 But all of these techniques, again, don't then
12 depend on the growth out of clonogenic cells, but
13 rather allow a relatively short term exposure to
14 the drug to define whether there is an effect on
15 the viability of the cells. Next.

16 When this assay was, and again, this is
17 in reference to the DiSC assay, was applied
18 initially to hematologic neoplasms, there was
19 clear evidence that there was increased cell
20 survival, that is to say resistance in patients
21 who ultimately were not responsive to
22 chemotherapy that was assigned on the basis of a
23 knowledge of the tests. So in that respect, the
24 assay was certainly suggestive that it might
25 eventually correlate with clinical outcome. And

00208

1 in addition, there was a fairly good
2 correspondence, again, with delineation of true
3 positives and true negatives by this assay.
4 Next.

5 When this assay was applied to the
6 somewhat more difficult clinical category of
7 patients with lung cancer, here in an initial
8 study with non-small cell assay, the DiSC assay
9 was performed assessing sensitivity to ten drugs,
10 treating with a regimen that ultimately
11 incorporated the three most sensitive agents. In
12 this series of 25 patients, there was a 36
13 percent partial response rate with a median
14 duration of 6.5 months and with the, if you want
15 to read, it looks to be responders and a median,

16 or I should say a median survival of about seven
17 months, with an overall of about 12 months.
18 There was clearly a threefold lower assay
19 survival. That is to say, people with greater
20 cell kill in responders versus non-responders.
21 However, these authors concluded that outcome as
22 measured by response rate and survival is within
23 the range reported by the literature, that is to
24 say, even though you can detect this difference,
25 the issue of whether or not it ultimately caused

00209

1 a different outcome that might be afforded by
2 treating with drugs that would be available from
3 the literature and without knowing the patient's
4 histologic diagnosis was not apparent. In
5 addition, some drugs clearly had a much greater
6 discordance in the predictive value of the test.

7 Thus for example, 5 fluorouracil did
8 not seem to have any ultimate value in its
9 performance, and on the other hand, etoposide,
10 behavior to etoposide, was essentially predictive
11 of the behavior of all of the of the drugs. And
12 actually from a scientific perspective, we now
13 recognize that since many of these agents act by
14 inducing apoptosis, this actual result
15 retrospectively, is not that surprising.

16 Interestingly, this paper also
17 introduced the concept of so-called extreme drug
18 resistance. That is to say, you can define
19 patients who had greater than one or more
20 standard deviations resistance than the median in
21 the population, and these patients essentially
22 had zero percent response to any of the agents.
23 Next.

24 This assay was also applied in a study
25 that was recently published from the NIH, and

00210

1 attempted to individualize chemotherapy for
2 patients with non-small cell lung cancer. And
3 from a population of 165 study patients, 21
4 received DiSC based regimens, and these had a 9
5 percent partial response rate. Whereas, 69
6 patients received empiric treatment with

7 etoposide and cisplatin; these had a 14 percent
8 partial response rate. And ultimately, the
9 survival of in vitro best regimen was comparable
10 to what one would have expected from the
11 empirically chosen chemotherapy.

12 Interestingly, this study also revealed
13 an issue that also has to come up in any test in
14 which there is a second or subsequent procedure
15 to obtain tissue, in that the survival of
16 patients who had any in vitro test was actually
17 worse than those without, and this implies
18 potentially that those people that had a
19 sufficient volume of tumor to have the tests had
20 an intrinsically less survival than those that
21 did not. Next.

22 And the last clinical study that I'll
23 touch on also emanated from the NCI and was
24 published in 1997. This attempted to use the
25 DiSC assay in limited stage small cell, and here

00211

1 we turn the somewhat, and consider the use of the
2 test in what may be considered in its most
3 favorable scenario, because this disease which is
4 traditionally, and now actually standardly
5 treated with the combination of radiation therapy
6 and chemotherapy, would potentially treat
7 empirically with a regimen known to produce a
8 high level of response, and then come back after
9 finishing consolidation with radiation
10 sensitivity with either a chosen regimen based on
11 the in vitro sensitivity or a standard approach
12 using an additional three drugs that the patient
13 had not seen previously that would be regarded as
14 standard or part of the standard care of patients
15 with small cell lung cancer.

16 And in this study, there was actually a
17 trend towards somewhat improved survival in
18 patients who could actually receive the in vitro
19 best regimen, but it certainly was just a trend.
20 And most interestingly, of the 54 patients that
21 were entered, the minority of the patients could
22 actually be successfully biopsied in this very,
23 shall we say well coordinated, well resourced

24 clinical trials scenario. Next.

25 So in terms of summarizing what I list

00212

1 here as my own disinterested perspective on
2 whether or not chemosensitivity testing is what
3 one would might consider to be ready to prime
4 time in widespread use, I would offer that from
5 my perspective, no method has emerged as a
6 quote-unquote gold standard, owing to
7 methodologic variation and the definition of what
8 constitutes resistance or sensitive tests. The
9 unfortunate fact that one cannot get reliable
10 data from most if not many patients. And in the
11 few completed prospective or randomized trials,
12 there is little assurance that ultimately there
13 is a difference effected by the test.

14 What we ultimately need if tests of
15 this nature are to be potentially useful, is
16 probably better drugs, because in point of fact,
17 since most of the drugs are unfortunately
18 inactive in many of the diseases in which these
19 tests would be used, knowing that they won't work
20 is not actually terribly valuable.

21 We need a method that is applicable to
22 all specimens obtained in real time with the
23 diagnostic specimen; that is to say, to require a
24 second test, or second procedure, in order to
25 obtain the specimen, inevitably indicating or

00213

1 introduces potential biases in studies related to
2 those patients who could withstand or undergo
3 these procedures, as well as of course, making
4 the test, the performance of the test more costly
5 than one might potentially desire.

6 But on the other hand, I think the
7 future holds potentially with newer approaches,
8 including gene expression arrays, serial analysis
9 of gene expression, there may be better, and
10 hopefully more useful techniques to assess this
11 in the future. But whatever the test, be it some
12 permutation of a currently available test, or one
13 of the newer methodologies here, its ultimate
14 value should be established in prospective

15 randomized trials where one uses the
16 diagnostically guided as opposed to the empirical
17 treatment before assessing whether or not it is
18 openly valuable.

19 And I thank you for your attention.

20 DR. FERGUSON: Thank you, Dr.

21 Sausville. I think you've gone in shorter time
22 than even I asked for, and so I'll open for a
23 question or comment. Yes, Dr. Hoffman?

24 DR. HOFFMAN: Yes. I would like to ask
25 Dr. Sausville his opinion about the assays that

00214

1 were discussed this morning, based on three
2 dimensional culture and other new third
3 generation techniques that address these problems
4 and have shown to be able to assess greater than
5 95 percent of the patients' specimens, have shown
6 survival benefit, have shown very high
7 correlation to response. I would like Dr.
8 Sausville's comments on this morning's talks.

9 DR. SAUSVILLE: Again, I wasn't here
10 this morning, and indeed, my brief was not to
11 comment on specific assays from this morning's
12 activities, but to offer an overview of problems
13 in the field in general. And I would certainly
14 say that if the tests that were proposed this
15 morning seem of interest, the real question is
16 have they been evaluated in prospective
17 randomized studies. Because unless they have
18 not, or I should say until they have, one, and
19 since as far as I'm aware, they have not, it
20 would be, I think premature to conclude that they
21 are, therefore, of widespread general use.

22 DR. FERGUSON: Dr. Weisenthal?

23 DR. WEISENTHAL: Now would be as good a
24 time as any to address the issue of the
25 requirement of prospective randomized trials for

00215

1 acceptance of this technology. I think it's a
2 very important issue, several speakers have
3 raised it, and the issue is this: Should these
4 tests be used in clinical medicine until it has
5 been established in prospective randomized trials

6 that patients treated on the basis of assay
7 result have a superior therapeutic outcome to
8 patients treated without the assay result? The
9 cop-out way to answer this, which I'm not, this
10 is not my answer to it, but what I could say if I
11 wanted to cop out, and it's perfectly valid, is
12 that never has the bar been raised so high for
13 any diagnostic test in history.

14 Dr. Sausville began his talk by
15 pointing out bacterial cultures done in
16 sensitivity testing, including one of his
17 examples was serum bactericidal testing. Serum
18 bactericidal testing, for those of you who may
19 know it, is something that Medicare does
20 reimburse for. It's very controversial, it's
21 much more controversial actually than cell
22 culture drug resistance testing. The performance
23 characteristics are certainly inferior based on
24 sensitivity and specificity. And furthermore,
25 there has certainly never been a prospective

00216

1 randomized trial showing survival advantage or
2 therapeutic outcome, you know, higher cure rate
3 or anything, whether you use serum bactericidal
4 testing or not, or any other form of antibiotic
5 sensitivity test.

6 We're talking about laboratory tests,
7 not a therapeutic agent, and I think that one
8 would be advised, at least first of all, to judge
9 them on the basis of the way that other
10 laboratory tests have been judged, and that is,
11 do they have acceptable accuracy, sensitivity and
12 specificity?

13 However, moving on to the question of
14 the prospective randomized trial, all of us, no
15 one more than those of us who have been working
16 in this field for 20 years, would love to see
17 prospective randomized trials, physician's choice
18 therapy versus assay directed therapy. This has
19 been the Holy Grail. I hope before I die, I will
20 be able to participate in such a trial. I
21 mentioned earlier, the fact is that there have
22 been a lot of energetic, very talented people,

23 that have devoted their careers to this, and the
24 best example is Dr. Dan von Hoff, who is the most
25 energetic. He and I were clinical oncology

00217

1 trainees together at the National Cancer
2 Institute. We both started working in this field
3 in the same lab at the same time. And Dan had --
4 you know, my CV lists about 50 publications;
5 Dan's CV is probably closing in on 2,000. And
6 he's organized more prospective randomized trials
7 and things like that. He was unable to
8 successfully get a study initiated and patients
9 accrued, and completed. I have devoted enormous
10 amounts of effort to getting those trial done,
11 and for one reason or another, they didn't accrue
12 patients, and things like this.

13 I want to point out that in Medicare,
14 Medicare has a problem, and the problem is not in
15 the year 2000, the years between 2010 and 2015.
16 The budgetary crunch in Medicare is going to come
17 in 2010 and 2015 when those of use who are now 52
18 years old are going to be 60, 65, 75 years old,
19 and we're going to be getting cancer. What's
20 going to happen over the next ten years is that
21 there's going to be an ever increasing array of
22 partially effective and very expensive cancer
23 treatments. We're seeing that now. Drugs are
24 being approved at a very rapid pace. We don't
25 have a clue how to use them.

00218

1 We brought up the idea about using the
2 test as a litmus test, like should you pay for
3 the therapy. Well, the only way that you're
4 going to be able to ever use the test as a litmus
5 test is if you do the prospective randomized.
6 And I would submit to you that the way to get the
7 prospective randomized trials done is as
8 follows: Look at the data that you heard about
9 this morning. Surely, you must be convinced that
10 there is a germ of truth in this. You know,
11 there is a consistent, overwhelming, and I think
12 that study after study is showing that these
13 tests do predict, they can identify the

14 difference between good treatments and bad
15 treatments. So it is not much of a leap of faith
16 to say that if only someone could do the trials,
17 then there's a good chance that they would turn
18 out to be positive, and if they do turn out to be
19 positive, by the year 2010, we will have a
20 wonderful tool to triage therapy, to triage
21 patients, right at the time when Medicare most
22 needs it, when the budgetary crunch comes, when
23 we've got all these expensive cancer therapies.
24 You know, I gave you the example of the five
25 patients treated with the bone marrow transplants

00219

1 at \$200,000 a patient, who did not benefit from
2 that, who then got an assay and had a great
3 result. What if they had gotten the assay? It
4 has the potential to be enormously cost
5 effective. But the only way that it will be used
6 in that way is if you do the trials, but the only
7 way -- it's a catch 22 -- the only trials will
8 ever get done -- I personally believe that if
9 Medicare approves this, it will be the shot heard
10 round the world, Swann, ECOG, CLGB, they will be
11 lining up to do trials. You guys, you know, come
12 back and maybe approve it conditionally, come
13 back in five years and see what's happening.

14 DR. BAGLEY: Well, you know, today --
15 it brings up an interesting point, and I think
16 you bring up the comment that, you know, never
17 has the bar been this high. Well, I would take
18 exception to that. I think the bar is not any
19 higher for this than for anything else that we
20 are currently looking at. And it is not
21 something that we are not used to hearing for
22 other things too, and that is, gee, we're paying
23 for things that were never subjected to any
24 scrutiny, so why should we subject it to
25 scrutiny. I mean, that's -- we hear it all the

00220

1 time, and that's just not going to work in this
2 day of evidence based medicine. And I'll tell
3 you because, you know, how much it costs isn't an
4 issue that we're really here to talk about today.

5 Because, you know, two years HCFA reorganized
6 and changed the whole focus of coverage, moved
7 the coverage office away from that part of HCFA
8 that pays for things and looks at program
9 integrity, and moved it into the place at HCFA
10 that looks at quality and clinical standards.

11 And that's exactly the focus we ought
12 to be doing because, you know, what it boils down
13 to is, it's not just why not pay for it, it
14 doesn't cost that much, or it might save a little
15 money. But it's let's pay for it because it's
16 the right thing to do, and represents quality
17 medicine. And when that happens, you know, we
18 shouldn't just pay for it, we should pay for it,
19 we should promote it, and perhaps, if the
20 evidence is there, we ought to insist on it. I
21 don't think the clinical community or the
22 beneficiary community would tolerate us insisting
23 upon a pattern of behavior, or even promoting a
24 pattern of behavior, without evidence, and so why
25 should we pay for it without evidence? And

00221

1 that's the change we're trying to make, that's
2 been the whole point of changing the coverage
3 process, putting together advisory committees
4 like this, is to say, let's look at evidence and
5 let's make decisions about what we pay for based
6 on quality, and once we know what quality is,
7 let's not just pay for it, let's not stop there,
8 but let's pay for things that we are willing to
9 promote and perhaps even insist upon. And so,
10 that is the reason for the focus on evidence, and
11 it's going to be there. And the fact that we may
12 not have subjected past technologies to the same
13 evidence, doesn't mean we can't go back and look
14 at them, time willing, but it doesn't mean we
15 should lower the bar for new technologies.

16 DR. FERGUSON: Do you want to respond a
17 minute, Dr. Sausville?

18 DR. SAUSVILLE: Yes, I do wish to
19 respond to that. And I want to thank you for
20 that perspective, because clearly, there is
21 nothing that ever, that doesn't lack for good

22 intentions. Clearly, the desire to convey useful
23 patient benefit goes without question. And the
24 efforts that were cited over the past two decades
25 have really been enormous efforts in that regard.

00222

1 But one distinction that I must point out is
2 that when one considers the bacteriologic
3 analogy, the diagnostic specimen, that is to say
4 the bacteria growing in a bottle, equals the test
5 specimen. So that is one intrinsic difference.

6 In many cases, cancer related
7 sensitivity testing requires additional efforts
8 to get and process tissue different than the
9 routine. So it is a point where the analogy is
10 not exactly apt, I think. And you quoted the
11 endocarditis issue, and you're right. It is
12 controversial as to whether or not ultimately
13 sensitivity testing is beneficial, because among
14 the lethal consequences of endocarditis are a
15 series of almost anatomical problems, valve
16 problems, thrombi, et cetera, that are not in any
17 way predicted or dealt with by the sensitivity
18 testing. So again, it's -- I think that the two
19 are, recall each other, but have important
20 differences in thinking about the ultimate value
21 of the tests.

22 DR. FERGUSON: Dr. Sundwall?

23 DR. SUNDWALL: Just a quick question.
24 Dr. Sausville, I am a family physician, not an
25 oncologist, but I was very perplexed by your

00223

1 statement. If I heard it correctly, you said,
2 knowing what drugs won't work is not all that
3 helpful. I don't understand that, given the
4 morbidity and the difficulties with
5 chemotherapeutic agents. I've had many patients
6 suffer terribly from chemotherapy, and how can
7 you say not knowing what won't work isn't that
8 helpful?

9 DR. SAUSVILLE: Because the context in
10 which -- and I respect your point, and I don't
11 certainly mean to in any way imply a lot of sole
12 searching on both the part of physicians and

13 patients that goes into the decision to entertain
14 therapy. But in oncology, frequently the
15 treatment is driven by the histologic diagnosis,
16 so if for example the initial diagnosis of small
17 cell lung cancer, if one could have a pattern of
18 drugs that have more or less a susceptibility, I
19 am not aware that such tests would be considered
20 definitive in saying, well, because you happen to
21 have a resistant small cell lung cancer, you
22 should not receive any therapy. So in that case
23 the therapy, or choice of therapy, is ultimately
24 driven by the histologic diagnosis that's
25 apparent. Consider the opposite point. Somebody

00224

1 with a chemotherapy refractory neoplasm,
2 manifested, such as pancreatic or renal, which
3 are problems which as far as I'm aware, are not
4 considered responsive to any set of agents
5 routinely.

6 Again, the information of whether the
7 patient has that dire situation is implicit in
8 the histology. It's not clear that any tests
9 that can be done ultimately defines a drug that
10 can change the outcome that is at the present
11 time ordained by the histology. So I take your
12 point, that being able to reliably choose drugs
13 that convey a useful clinical benefit is very
14 worthwhile and a goal that should be pursued. I
15 am not sure that the current tests actually allow
16 the clear delineation of such agents.

17 And in that regard, you can tell a
18 patient who has the unfortunate diagnosis of
19 pancreatic cancer, that they are likely not going
20 to respond to a medicine chosen on that basis, or
21 chosen after having gone through an additional
22 test to obtain tissue and then tested for assay
23 resistance.

24 MR. KIESNER: I think Dr. Sundwall
25 asked a very interesting question, and I think

00225

1 there are at least two clinical strategies for
2 using this type of information. I think on one
3 hand you can say, we're going to select a drug,

4 and another, there may be a different clinical
5 setting, and I will give you two examples. I
6 think this is very important.

7 Dr. Alberts spoke this morning about a
8 clinical situation where he would be referred a
9 patient from another hospital, and that patient
10 may not, may be unaffected by the primary care,
11 he has relapsed, the tumor is growing, and they
12 send him, they send the patient to him. Doctor,
13 what can you do to help me? In that situation,
14 there may be three or four or five different
15 drugs, single agents, none of which have been
16 determined to have a significant clinical benefit
17 over the other drug in that situation. If I am a
18 patient and if any physician can tell me of the
19 five drugs, Frank, two of those drugs you're
20 resistant to, what has he told me? He's said,
21 I'm not going to use those two drugs, I've saved
22 you from the possibility that you're going to get
23 those two drugs and not benefit from it. It's
24 very, very well documented that these tests are
25 able to identify resistance, and if I'm a patient

00226

1 and if my physician in that setting can identify
2 the resistance, I believe he has done me a real
3 service.

4 The second situation is one which I
5 experienced personally. And I'm not mentioning
6 it because it's personal, I'm mentioning it
7 because it's exemplary of the position that a lot
8 of families can be in relation to elderly
9 Medicare patients. In -- I'm from Minneapolis.
10 My father was in St. Mary's Hospital. He was ten
11 years past Medicare age and was being treated for
12 cancer. We saw what the drug was doing to him.
13 If his physician could have come to me and said,
14 Frank, I have two or three other drugs, two or
15 three other choices I could try, and I have done
16 a test and I could see that they are all
17 resistant, I don't think we should go any
18 further. From the family situation, it was a
19 very difficult situation to make, do you go
20 further. We made the situation not to. But to

21 this date, if I would have had an assay that
22 would have told me the drugs that the physician
23 was considering will not work, I would feel I
24 would have been served, our family would have
25 been served, and my father would have been

00227
1 served. Elimination of drugs, identification of
2 drugs in those types of clinical settings that
3 don't help, or help you stop therapy, I think is
4 something worth considering.

5 DR. FERGUSON: Thank you. Very
6 briefly.

7 DR. SAUSVILLE: So my response to that
8 is the essence of the issue, and it also pertains
9 to the question before, is whether or not one
10 could have reached the conclusion that drugs
11 would not have benefitted your relative by the
12 diagnosis itself, and not have ultimately had to
13 rely on a test. And here the performance
14 properties of the -- the unfortunate performance
15 properties that when a drug is predicted to be
16 sensitive by these tests, the outcome is
17 unfortunately not any different in many cases,
18 than when things -- and in fact, in all cases
19 that I'm aware -- of when drugs are seen as
20 resistant, is the essence of why we are in a
21 quandary about how to appropriately use this.

22 DR. FERGUSON: Thank you. Harry.
23 Dr. Handelsman?

24 DR. HANDELSMAN: I'm Harry Handelsman.
25 I'm at the Center for Practice and Technology

00228
1 Assessment, Agency for Health Care Policy and
2 Research, and our office was asked by HCFA to
3 review the 1990 article by Kern and Weisenthal on
4 the use of suprapharmacologic drug exposures.
5 And I'm going to briefly synthesize what I think
6 was the essence of that article and then give my
7 personal critique. Unfortunately, some of this
8 is going to be repeating some of the data that
9 you heard earlier today, and that's unavoidable.

10 Bayes' theorem suggests that drug
11 sensitivity testing in vitro will be accurate in

12 predicting clinical drug resistance in tumors
13 with high overall response rates only if the
14 assays have a specificity of greater than 98
15 percent for drug resistance. A 1989 review of
16 the literature by the authors indicated that a 30
17 to 50 percent false positive rate, and a false
18 negative rate as high as 15 percent.

19 This reported assay, which was
20 developed by Kern, uses a soft agar culture with
21 products of concentration times time higher than
22 those which can be achieved clinically and used
23 drug exposures 100-fold higher than other
24 contemporaneous studies. Response assessments
25 were made by retrospective and blinded chart

00229

1 reviews. The authors reviewed 450 correlations
2 between assay results and clinical response over
3 an eight-year period. The assay was calibrated
4 to produce extremely high specificity for drug
5 resistance. Two assay end points were used,
6 colony formation and thymidine incorporation.

7 Overall response rates were 28 percent
8 using the colony formation end point, and 34
9 percent using the thymidine incorporation end
10 point. At the assay lower cutoff value, the
11 assay was 99 percent specific in identifying
12 non-responders, fulfilling the Bayes prediction.
13 Patients with drug resistant tumors could be
14 accurately identified in otherwise highly
15 responsive patient cohorts. The demonstration
16 that the post-test response probabilities of
17 patients varied according to assay results in
18 pretest response probabilities allowed the
19 construction of a nomogram for predicting
20 probability of response.

21 In 1976, it appeared that no method of
22 predictive testing had gained general acceptance,
23 and during the subsequent decade, high false
24 positive and false negative rates continued to
25 plague the field of in vitro testing.

00230

1 The clinical advantages of developing a
2 highly specific drug resistant assay include:

3 The avoidance of the use of inactive agents in
4 treating responsive tumors; the avoidance of drug
5 related morbidity of inactive agents; the
6 identification of drug resistant tumors for
7 timely consideration of alternative therapies;
8 and obviously, the cost savings of avoiding the
9 use of ineffective agents. Alternative assay
10 methods are available. However, the use of cell
11 culture assay had the advantage of measuring the
12 net effect of both known and unknown mechanisms
13 involved in drug resistance.

14 It is indeed possible to estimate the
15 post-test response probability for specific drugs
16 in specific tumors and patients. This can be
17 achieved through the determination of assay
18 results and the application of a constructed
19 nomogram for assay predicted probability of
20 response.

21 In general, efficacy studies in both in
22 vitro and in vivo tumor models provide an
23 opportunity to obtain data on both efficacy and
24 toxicity, and to refine dose and schedule
25 information for clinical trials. In vitro

00231

1 testing has been extensively applied to determine
2 the potential efficacy of individual drugs and
3 remains an attractive alternative to testing
4 empiric regimens in phase I and phase II clinical
5 trials. In vitro testing can differentiate
6 active and inactive agents, but cannot serve as a
7 substitute for in vivo studies, despite providing
8 elements of both positive and negative predictive
9 reliability.

10 Combinations of agents, which are the
11 most widely applied treatment strategy, are best
12 evaluated using in vivo models, where both
13 toxicity and pharmacokinetics can be adequately
14 studied. Although in vitro assays can provide
15 primary drug resistance data, the most relevant
16 outcome from such assays is improved patient
17 survival, and there have been no clinical trials
18 demonstrating such a result. In addition, it
19 remains to be determined if in vitro testing will

20 be found to have direct clinical applications for
21 disease or patient specific therapies. There
22 have been encouraging reports of survival
23 advantage of patients treated with in vitro
24 directed therapies, but these require
25 confirmation from larger numbers of patients and

00232
1 variety of tumors.

2 Both randomized and non-randomized
3 studies comparing tumor responses to chemotherapy
4 selected by in vitro testing with empirical
5 chemotherapy have produced conflicting results.
6 Response rates appear to be better with in vivo
7 selective agents. However, the impact on
8 survival has not been adequately addressed.
9 Ideally, in vitro assays should be correlated
10 with both response and survival data. The most
11 significant issue in the realm of cancer
12 chemotherapy is that of the resistant
13 mechanisms. The ability to identify ineffective
14 agents in these assays, albeit potentially
15 important, does little to elucidate the
16 mechanisms problem. The assay described in this
17 article can perform its intended task of
18 identifying resistant tumors, and determining a
19 probability of response, but its clinical utility
20 has not been established.

21 DR. FERGUSON: Thank you. I think we
22 have time for a few questions. Panel, or
23 others? Comments? Yes?

24 DR. FRUEHAUF: I think that was a nice
25 summary of the paper and I think the issue of

00233
1 survival was addressed this morning.

2 DR. HANDELSMAN: Excuse me, if I can
3 interrupt. The issue of survival was predicted,
4 but it wasn't on a comparison with alternative
5 therapies.

6 DR. FRUEHAUF: That's true. It was
7 survival in a blinded prospective way, looking at
8 people just getting empirical therapy and asking
9 the question, if you're not going to respond,
10 will you have inferior survival? And we've

11 addressed the issue of, do you want to get a
12 drug, as a person who has cancer, that won't work
13 and won't benefit your survival? And I think
14 that's the important point that this paper is
15 establishing, the utility of knowing that a drug
16 will not be of benefit to a cancer patient.

17 And I have dealt with neuropathies that
18 are incapacitating to professional tennis
19 players. I have had to deal with all sorts of
20 toxicities, and many of these people progress
21 through therapy and die of their disease, and the
22 quality of their life during that progression was
23 significantly adversely affected by getting
24 ineffective therapy. So the clinical utility
25 question to me as a practicing physician, is to

00234

1 not harm people with ineffective therapy that
2 will not, which has been demonstrated not to
3 benefit their survival. And I think most people
4 understand, if you don't respond to the therapy,
5 you're not going to live longer. And we're not
6 trying to say that the test will predict a drug
7 that will help people live longer, for drug
8 resistance assays. We are trying to say, and you
9 stated, and Dr. Sausville stated, that these
10 assays accurately predict drugs that will not be
11 effective. And then the question is, so what? I
12 think the answer to the question so what is, so I
13 don't want to give those drugs to my patient.

14 DR. FERGUSON: Thank you. Okay. I
15 guess we can go on. Harry, thank you very much.
16 Dr. Burke?

17 DR. BURKE: I think we're going to have
18 a lot of fun this afternoon. My name is Harry
19 Burke. I'm a consultant to HCFA. I'm an
20 internist. I'm a methodologist. I'm only here
21 for today.

22 The first couple slides that I am going
23 to present are not HCFA's position, they're my
24 personal review on the subject, and shouldn't be
25 considered HCFA's policy. I am going to address

00235

1 three issues today. First, the levels of

2 evidence, which has been raised several times by
3 various speakers. I'm going to talk about test
4 accuracy. And then I am going to talk about the
5 Kern and Weisenthal article that Dr. Handelsman
6 just gave us an introduction to.

7 But before that, I would like to make a
8 couple comments. First, the extreme drug
9 resistance is really a therapy specific
10 prognostic factor. It really has to be looked at
11 in the context of other therapy specific
12 prognostic factors. Dan Hayes was right. It's
13 like ER and PR, and these other factors. And
14 there's a scientific rationale underlying therapy
15 specific prognostic factors that must be dealt
16 with. The utility of the test depends on the
17 characteristics of the test; that's clear. But
18 it also depends on the efficacy of the treatments
19 if it's a therapy specific prognostic factor,
20 because they're inextricably linked together, and
21 you can't separate the two. And it depends on
22 the prevalence of the disease or the resistance
23 in the population under study. So it's really
24 those three factors together that must be taken
25 into consideration when looking at something like

00236
1 this.

2 Let me make another point, and that is,
3 when we talk about the utility of this test, we
4 can't be talking about the utility for individual
5 patients. We have to be talking about the
6 utility of the test for a population of
7 patients. So we can't switch back and forth
8 between the two, because we're really mixing
9 apples and oranges when we do that.

10 I'd like to make a couple comments
11 about what has been said earlier. Fruehauf,
12 Weisenthal and others have suggested consistent
13 findings across studies, and they've made a claim
14 that that proves something. And I would like to
15 suggest that, yes, consistent findings across
16 studies can be due to robustness of the
17 underlying phenomenon. But it can also be due to
18 consistent biases across the studies. And so if

19 you are going to make a claim for consistency of
20 35 studies, that all suggest the same thing, and
21 that's a robustness claim, you'd better be
22 prepared to tell me why it isn't due to biases in
23 the 35 studies themselves. So you really have to
24 look at each of the 35 studies and you have to
25 ask the question, are these really consistent?

00237

1 You can't just wave a hand and point to 35
2 studies. You have to rule out the alternative
3 hypothesis.

4 Secondly, I'm a little confused.
5 Kiesner pointed out that we could use this task
6 at the bedside at the discretion of the patient,
7 or I mean of the physician, while Kern suggested
8 that it could be used to deny a particular drug.
9 And I need to know, which is it? Is it that the
10 evidence is so convincing that it can be used to
11 deny a particular therapy, or that it's not that
12 convincing, and it's just one of an armamentarium
13 of tests that are available to the physician.

14 First, let me just do a little
15 background. Comparative clinical benefit is what
16 I'm looking at. This is my gloss on reasonable
17 and necessary. It could be defined as the test
18 or treatment providing a measurable improvement
19 over all the current relevant tests and
20 treatments at a cost commensurate with the
21 measured improvement. I also suggest that FDA
22 approval is prima facie evidence of safety and
23 efficacy, but if that isn't there, I think safety
24 and efficacy must also be demonstrated. And a
25 comparative clinical benefit study of a

00238

1 prospective, or of the test or treatment, must
2 compare itself to the other tests or treatments.
3 It doesn't stand alone. And so when you say
4 well, this test or treatment is really good, you
5 have to say what the other tests and treatments
6 are, what you're comparing it to.

7 Now I would -- I am not totally a
8 believer in randomized clinical trials for
9 everything. My suggestion is that there may be

10 three levels of evidence that can be adduced: A
11 strong evidence, which is either a large
12 prospective randomized clinical trial, or two
13 large retrospective studies where one study
14 independently replicates the other study. I
15 think that's good science as well. Or two medium
16 size randomized prospective trials. I think all
17 of those would be strong evidence. If I saw a
18 really large retrospective study that was
19 independently replicated by independent
20 investigators, independent institutions, I would
21 take that as fairly strong evidence.

22 Moderate strength are medium sized
23 prospective trials, a large well designed
24 retrospective study that hasn't been replicated,
25 or two medium randomized prospective clinical

00239
1 trials, medium size.

2 Weak evidence. Small properly designed
3 and implemented prospective randomized trials, I
4 think are weak evidence, and I think are well
5 recognized as that, and I think Peto and others
6 have suggested meta-analysis to overcome the
7 weaknesses of small randomized clinical trials.
8 Alternatively, two medium sized retrospective
9 studies that were done by independent
10 investigators might be good evidence.

11 But insufficient evidence, small
12 systematic studies, I consider them really
13 exploratory rather than evidence. Case series, I
14 think are well considered as anecdotal. And any
15 study that's not properly designed, implemented
16 or analyzed must be considered fatally flawed.

17 Large is 500 patients, medium sized,
18 250, small is less than 250. You know.

19 Okay. Test accuracy. What is a
20 properly designed, implemented and analyzed
21 study? Well, test accuracy of course is an
22 association between each patient's predictions
23 based on the test, and each patient's true
24 outcome. That's test accuracy. The factors that
25 affect test accuracy include the study

00240

1 population, were the patients who were selected
2 easy to predict. Because you can select patient
3 populations, and we'll get into that later, that
4 are very easy to predict by just about any test.
5 The test characteristics: Was the test assessed
6 in the clinical setting which it's intended to be
7 used for? The reproducibility: Does the
8 prediction variability increase across
9 laboratories and reagents? And finally, the
10 method of measuring the accuracy: Was the
11 correct method used?

12 And I'm going to focus on two of these,
13 the first and fourth, which are the most
14 problematic.

15 Okay. Sample size, or study sample
16 characteristics. The composition of the study,
17 the study population, makes a difference in the
18 observed accuracy. A sample with only extreme
19 cases, i.e., the predictors are extreme values of
20 their range, will be easier to predict than a
21 sample with many intermediate cases, the
22 predictions are mostly in the middle of their
23 range. For example, for women with breast cancer
24 who have many positive lymph nodes, their
25 outcomes are fairly easy to predict. Women with

00241
1 metastatic disease, their outcomes are pretty
2 easy to predict. It isn't a hard task to do.
3 What is hard to do is to predict the women with
4 small tumors and with no lymph node involvement
5 or metastatic involvement, that's really tough to
6 do. So, if you just pick an extreme population,
7 it turns out those are pretty easy predictions to
8 make, but it turns out that most patients aren't
9 in the extreme, so it's relatively unuseful.

10 Okay? Thus, the sample must be representative of
11 the real world in which the test is to be used.

12 Measurement of test accuracy. There
13 are several ways to assess test accuracy. The
14 correctness of the accuracy assessment method
15 depends on, so when you select a method of test
16 accuracy, whether there is a preexisting
17 threshold, in other words, is there something out

18 there that says everybody above this should be
19 positive, everybody below should be negative,
20 does that already exist, or do you have to
21 construct it? The number of tests to be
22 assessed. And whether the assessment is
23 performed on one population or more than one
24 population.

25 And just very briefly, this is really

00242

1 hard to read. I can't get the lines on tables to
2 work out for me, so this is lineless. But it
3 turns out that the sensitivity and specificity
4 pairs are really, have one threshold, they do one
5 test, and its one population. Okay? Positive
6 and negative predicted value, there is one
7 threshold, one test, and two or more populations,
8 because really, the positive and negative
9 predictive value we're talking about are
10 different prevalences, therefore, different
11 populations. And the area under the receiver
12 operating characteristic includes all thresholds,
13 two or more tests are assessed, and one
14 population. So in other words, we use the ROC as
15 a best unbiased measure of test accuracy.

16 In terms of the measures of accuracy
17 discussed above, without changing the test
18 itself, there are only two ways to change the
19 accuracy of the test. One way, of course, is to
20 change the threshold of the predictions, and then
21 your sensitivity and specificity would change.
22 And the other way is to change the prevalence of
23 the disease in the population, because then your
24 negative and predicted -- positive and negative
25 predicted values will change. Okay?

00243

1 Prevalence's effect on accuracy. The
2 optimal prevalence for assessing the accuracy of
3 the test is to use a population composed of 50
4 percent disease, 50 percent unaffected. In this
5 situation, the prevalence itself provides no
6 advantage to the test. As the prevalence departs
7 from 50-50, the impact of predicting the
8 prevalence becomes more prominent. In other

9 words, if the test acted as a naive Bayesian
10 classifier, then for each patient it would always
11 predict the most frequent outcome, in other
12 words, it would predict the prevalence. So for
13 example, if there was a 90 percent prevalence in
14 a diseased population, then the naive Bayesian
15 classifier would say disease every single time
16 for every single patient, and you would be right
17 90 percent of the time. That's pretty good.
18 Okay? That's a pretty accurate approach. As the
19 proportion of patients with or without the event
20 moves, either toward a hundred percent or zero,
21 the naive Bayesian approach becomes more
22 effective, more efficient in its predictions. So
23 it's only at 50-50 for binary outcome, that you
24 neutralize the naive Bayesian classifier
25 approach. In other words, if the true prevalence

00244

1 of the disease in a population is close to a
2 hundred percent, it's almost possible for a test
3 to add predictive information. Okay? That's
4 really an important idea. So, as you get towards
5 high prevalences, almost no test will be helpful
6 anymore. Okay?

7 Changes in the prevalence of the
8 disease in a population, as reflected by
9 corresponding changes in the test's positive and
10 negative predictive values. If one were allowed
11 to report the positive predictive value, or the
12 negative predictive value of tests just by
13 itself, then one might be tempted to create or
14 select a high prevalence population for
15 assessment of the test, because the test would
16 appear to possess a high predictive accuracy,
17 okay? Until of course, it was compared to the
18 naive Bayesian classifier, at which point it
19 would cease. Thus, both the positive and
20 negative predictive values of the test must be
21 assessed. Then, if the prevalence is not 50
22 percent, the test must be compared to the naive
23 Bayesian classifier. Further, both the
24 sensitivity and specificity of the test must be
25 assessed in terms of the cutoff that was

00245

1 selected, and the prevalence, because it turns
2 out that although it's commonly thought that
3 prevalence doesn't affect sensitivity and
4 specificity, it certainly does, and there are a
5 number of papers that demonstrate that.

6 So, a better way to assess the accuracy
7 of the test is to use the ROC. This measure of
8 accuracy is impervious to changes in prevalence
9 and reflects the characteristics of the test
10 across all sensitivity and specificity pairs.

11 Well, okay. So now, I was asked to
12 take a peek at Kern and Weisenthal's paper as
13 well, and it turns out that they really are very
14 sophisticated in their use of data and results.
15 It's probably one of the most sophisticated
16 papers I've ever read, and I have read quite a
17 few. I'm going to talk about those areas of the
18 paper.

19 Overview of the study. Kern and
20 Weisenthal used two in vitro tests, which have
21 been mentioned, as surrogate outcomes for
22 response to chemotherapy in patients with
23 different types of cancer. If a patient's tumor
24 demonstrated drug resistance in a test, i.e.,
25 after the patient's tumor cells were exposed to

00246

1 the drugs for a certain period of time, and the
2 cells did not achieve a threshold inhibition, the
3 test was interpreted as predicting that the
4 patient would not clinically benefit from
5 receiving the drug.

6 So, we go back to our levels of
7 evidence, and we can ask overall about this
8 study, where it would lie in our levels of
9 evidence? Well, the colony formation test is
10 really Level III, it's weak evidence. The
11 thymidine incorporation is really Level IV,
12 insufficient evidence.

13 But, not letting that bother us too
14 much, let's talk about the study itself. It's a
15 retrospective chart review, subject to several
16 biases, including therapy selection bias, who

17 received the therapy, and study selection bias,
18 which patients were included in the study. And
19 the study was not validated on an independent
20 population, but it was done on the same
21 population. It was done from 1980 to 1987 in the
22 United States.

23 The study characteristics. Initial
24 population was 5,059 patients. From that, they
25 winnowed it down to 450 patients that they

00247

1 actually studied, about 9 percent of the initial
2 population. They looked at eight different types
3 of cancer. They had 332 colony formation
4 patients, 116 thymidine incorporation patients.
5 And the non-respondent prevalence was 71 percent
6 of the population.

7 One thing that the study wasn't very
8 clear about, it said that virtually all patients
9 were treated with standard chemotherapy, but then
10 later on it said, most of the patients whose
11 specimens were analyzed did not receive
12 chemotherapy because they underwent curative
13 surgical procedures. And I didn't understand
14 that distinction.

15 We'll assume that all 450 patients in
16 the study received chemotherapy. The percentage
17 of patients who receive chemotherapy today may
18 actually be much higher. The criteria to decide
19 which patients received chemotherapy is not
20 reliable. This is really not a very acceptable
21 approach to a study. If in fact you're going to
22 predict who's going to respond to chemotherapy, I
23 think you really have to say how chemotherapy was
24 selected, what the selection criteria were.

25 Also not provided were the patient

00248

1 characteristics of the study population, and this
2 is really critical information. For example, if
3 the population was composed of patients who had
4 already received primary chemotherapy, had
5 incurable disease, and were undergoing salvage
6 treatment, then this study would not be
7 applicable today, and in addition, the results

8 would be biased. So we really need to know what
9 the chemotherapy selection criteria were, and
10 what the patient population characteristics were,
11 neither of which are provided to us. There is no
12 basis from which to understand the results that
13 we are seeing.

14 Now, the function of the test is to
15 predict clinical non-response to chemotherapy
16 using suprapharmacologic drug doses. Now, we're
17 interested in the non-response rate per drug per
18 cancer type per test type. That's what we're
19 interested in. So there were eight drugs. Now
20 I'm not going to talk about combination therapy
21 because that's a whole other subject. There are
22 eight drugs, eight cancer types, that means there
23 were 64 bins, okay? So that means per cancer,
24 per treatment, so there were 64 of those
25 combinations for each of the two tests, for a

00249

1 total of 128 accuracy assessments. And excuse,
2 the lines aren't there, but you see 64 bins. And
3 for each bin, you would want to know
4 prospectively, hopefully randomized, you would
5 want to know, for disease one, treatment one,
6 what does the test say, okay, about this
7 population? In that one cell. And then you
8 would want to follow that population over time
9 and see what actually happened to those people.

10 So for breast cancer and a particular
11 chemotherapeutic agent, you would like to see,
12 did the test predict for that chemotherapeutic
13 agent for breast cancer, successfully. And you'd
14 want to do that for each of the 64 cells. And in
15 fact, you must do it for each of the 64 cells.

16 If there were the same number of
17 patients per cancer type, then the 118 patients
18 tested for thymidine incorporation would be at
19 1.8 patients per bin, for this study. And for
20 the 332 patients tested for colony formation,
21 there would be 5.2 patients per bin. These
22 frequencies would be too low to be meaningful.

23 Now, out of the eight drugs tested and
24 reported, the only drugs to use today, and are

25 they not used in combination, the efficacy, the
00250

1 efficiency of these tests must be demonstrated
2 with each chemotherapeutic agent in use today,
3 and for each combination of agents, each type of
4 cancer.

5 Now, just a couple final points. It's
6 unclear why this study provided two sets of
7 thresholds instead of one. Further, although two
8 thresholds were tested for significance, three
9 were presented in the text, shown in the tables
10 and figures. The first threshold is 45 to 75,
11 and the second one was 15 to 40. In this study,
12 the thresholds that were selected to assess on
13 the same population that were used to determine
14 the optimal thresholds. This elementary mistake,
15 reporting the results from the population used to
16 create the threshold, rather than the results of
17 an independent population, always results in the
18 overestimation of test accuracy.

19 The outcome was standard response
20 criteria. We are never given a definition of
21 what standard response criteria are. We don't
22 know who got the chemo and why. We don't know
23 the study population. We don't even know the
24 outcome. We are never given definitions of any
25 of those three. It's absolutely critical that

00251

1 the specific response criteria employed by the
2 investigators be revealed if that is their
3 outcome.

4 Now of course, Rich Simon, who many of
5 you know at the NCI, and others, have pointed out
6 that response is an unreliable outcome and should
7 be avoided if at all possible. So, okay. So
8 rather than the 64 sets of results that we were
9 looking for, two sets of results were presented,
10 one for each of the two thresholds. Each of the
11 results is across all eight cancers, all eight
12 therapies, and the tests, and the results are
13 there for the first threshold, 60 something
14 percent sensitivity, 87 specificity, 43 and 99.
15 Clearly, the sensitivity goes down as the

16 specificity goes up. Neither sensitivity or
17 specificity pair is very high. Combining all
18 results into one conglomeration provides no
19 information regarding the utility of the test for
20 each drug in terms of each cancer type. The
21 study should have reported the area of the ROC
22 curve, both tests, for the 64 sets of results.
23 Thank you.

24 DR. FERGUSON: Thank you very much.
25 We're actually at our time for a break.

00252

1 Perhaps -- it is almost 2:30. If we take a
2 15-minute break, I think, yes, would you please
3 come back up, because there may be a couple of
4 questions for you.

5 (Recess from 2:25 p.m. to 2:45 p.m.)

6 DR. FERGUSON: I wonder if there are
7 any in the audience, or panel for that matter,
8 who would like to ask Dr. Burke some questions
9 related to his presentation? And also, Dr. Burke
10 has promised to give us the last few slides. Are
11 there questions for Dr. Burke from members of the
12 audience or from the panel?

13 Dr. Weisenthal, did you have a question
14 that you wanted to ask, or a comment?

15 DR. WEISENTHAL: I want to thank
16 Dr. Burke. He started off by paying me
17 compliment, and he said of Dr. Kern and I's
18 paper, that this is one of the most sophisticated
19 papers that he's ever read. I've also been
20 talking to critics of these technologies for 20
21 years, and that's the most sophisticated
22 criticism that I've ever had, so I want to
23 congratulate you on that.

24 There are several points that were
25 raised in your talk which should be addressed.

00253

1 Just to begin with, the study by Kern and
2 Weisenthal that you spent the bulk of your time
3 reviewing, just to begin with that, you brought
4 up several methodologic criticisms and raised
5 questions about patient selection and so forth.
6 I want to remind everyone here that that was

7 published in the Journal of the National Cancer
8 Institute. I assure you it underwent rigorous
9 peer review. When we submitted our first draft
10 of the manuscript, the reviewers there had
11 certain problems with it and they had certain
12 things they wanted clarification of.

13 DR. BURKE: But that's an appeal to
14 authority.

15 DR. WEISENTHAL: No, no, no. Dr.
16 Burke, had you been one of the reviewers, no
17 doubt you would have raised those issues at the
18 time and we would have responded to those. And
19 I'd like to ask Dr. Kern now if he can respond,
20 so we're in consideration of that you were one of
21 the -- you know, you can't blame us because you
22 were not the reviewer of our paper. Had you been
23 there and helping us to get the essential
24 information out there, I'm sure it would have
25 been a better paper. But we'd like to address

00254

1 those issues that you raised at this time, if
2 that's okay.

3 DR. BURKE: Absolutely.

4 DR. KERN: One of the points was the
5 selection bias. How could you end up with 450
6 correlations out of 5,000 patients in the study?
7 Well, the 5,000 patients was an overview of all
8 the tests that we had done in the laboratory. It
9 wasn't meant to imply that the clinical study was
10 based on 5,000 patients. And in fact, at the
11 Department of Surgery, UCLA, where I was, most of
12 the patients were treated with surgery or
13 radiation, not with chemotherapy.

14 Secondly, many of the patients that
15 received chemotherapy received adjuvant
16 chemotherapy. So the inclusion criteria of the
17 study to get to 400 patients included, first,
18 patients had to have advanced disease; second,
19 they all had to have objectively measurable
20 disease, either by CT scan, x-rays or so on.
21 Okay?

22 Now, as far as another comment that you
23 made about one study, but it's not been

24 independently validated, I think I may ask Dr.
25 Bosanquet to address that issue, because he

00255

1 published an article in Lancet a couple of years
2 after our paper.

3 DR. BURKE: Did you want to address any
4 of the other issues that I brought up?

5 (Inaudible response from audience.)

6 DR. BURKE: I mean, this is not an
7 opportunity for us to get into whether the study
8 has been validated or not at this time. That was
9 just an issue that I raised, and perhaps at
10 another forum that can be addressed further. I
11 think we have time limitations.

12 DR. KERN: Well, I will try to answer.

13 DR. BURKE: So keep going. There were
14 a lot of issues.

15 DR. KERN: Bring up a couple of the
16 issues, remind me of them. Let me see what you
17 consider a serious objection.

18 DR. BURKE: Well, the selection, the
19 patient characteristics, the criteria for who got
20 what treatment.

21 DR. KERN: Let's go one at a time. Who
22 got what treatment was determined independently,
23 not by the assay, but by the disease type. The
24 patients went on standard protocols. Most of the
25 patients who ended up at being UCLA, an academic

00256

1 center, were all on some sort of clinical trial,
2 randomized trial protocol.

3 DR. BURKE: What were the standard
4 protocols? What was the response criteria that
5 you used?

6 DR. KERN: The response criteria were
7 the ECROG criteria of partial response and
8 complete response.

9 DR. BURKE: And what percentage was
10 each in terms of your study?

11 DR. KERN: I'm sorry, I don't
12 understand.

13 DR. BURKE: In other words, in terms of
14 response, global response measured, and what

15 percentage of these patients were partial
16 responses, what were complete, and then at that
17 time, how were those defined in your study
18 population?

19 DR. KERN: Okay. The responses were,
20 again, just by objective measurements. It was
21 retrospective, but scans, x-rays. And the
22 complete response, obviously, complete
23 disappearance of the disease. Partial response
24 was by the criteria of two dimensions and the
25 shrinkage of at least half in two dimensions.

00257

1 Standard criteria.

2 DR. BURKE: But this was a
3 retrospective study where you went back to the
4 charts. We all know about the paucity of
5 information and the error of information, and in
6 follow-up information not being in the charts.
7 How did you manage those issues in your
8 retrospective study?

9 DR. KERN: Well, the follow-up --
10 obviously, there are problems, and I'm not trying
11 to say there's not biases in it. We all know the
12 disadvantages of retrospective chart reviews.
13 The only thing I can tell you is what actually
14 was done, two oncologists reviewed the charts and
15 made their best decisions of what the responses
16 were, based on measurable criteria.

17 DR. BURKE: What did they do when they
18 disagreed? What did they do when information
19 wasn't there? What did they do make sure it was
20 accurate information? Do you want to continue
21 with this?

22 DR. KERN: No, I cannot say that I can
23 answer every question. I mean, I'm not an expert
24 in your field.

25 DR. FERGUSON: One brief, and then

00258

1 we'll let Dr. Bosanquet speak.

2 DR. WEISENTHAL: This is really
3 important, okay? You know, you talked about an
4 eight-by-eight table, and we only have one
5 point. You know, a study like this is never

6 going to be done again in the history of the
7 world. Never again are you going to have 330
8 patients treated with single agents. The
9 important thing about it was that this was an
10 honest blinded study in the following fashion,
11 and that is that the clinical results were
12 determined independent of knowledge of assay
13 results. The clinical results were reported to
14 the Department of Biomathematics at UCLA; they
15 were like the stakeholder in this, they had the
16 clinical assessments. Likewise, they received
17 independently from the laboratory the laboratory
18 assessments, and then the correlations were made
19 as stated.

20 DR. BURKE: Let's just deal with that
21 issue for a moment, because Dr. Kern sat down and
22 you stood up. So we've got the 64 bin table,
23 right.

24 DR. WEISENTHAL: Yes.

25 DR. BURKE: And the issue is, how do we

00259

1 know the utility of this test for a chemotherapy
2 in a disease?

3 DR. WEISENTHAL: Okay. You're making
4 the same criticism as Maury Markman has made.
5 What Maury Markman says is as follows, and he
6 says that he notes that there have been no
7 prospective randomized trials.

8 DR. BURKE: That's not my question.

9 DR. WEISENTHAL: Wait a second. But
10 it's the same thing. He says that even if some
11 day there were to be a prospective randomized
12 study, that that would only apply to that one
13 particular situation, and it would not tell you
14 anything about all the other situations.

15 You know, the sort of information that
16 you're asking for in the real world will not be
17 available for 20 to 50 years, if ever.

18 DR. BURKE: No, no. I understand the
19 mitigating circumstances. But the question is,
20 if you want this test to predict a particular
21 chemotherapeutic regimen in a particular disease,
22 then I want that information, and I don't have it

23 in your study.

24 DR. FERGUSON: Okay. I am going to ask
25 for Dr. Bosanquet to give his response, and then

00260

1 we are going to go ahead. We will try to have a
2 little more time at the end.

3 DR. WEISENTHAL: There's an extremely
4 important point. Basically he started out his
5 talk denigrating -- in other words, I made the
6 point that we have 35 studies consistently
7 showing the same thing, and he denigrated that,
8 and he said, oh, that's just due to consistent
9 bias. And I would like to prove to you that that
10 is not true.

11 DR. BURKE: Excuse me. I didn't. I
12 posed an alternative hypothesis. I said there
13 are two hypotheses for the 35 consistent studies.

14 Assuming that they are consistent, which we
15 have no evidence of, but assuming that they are
16 consistent, it could be due to two things. It
17 could be due to the fact that there is a
18 phenomenon there, or it could be due to
19 consistent study bias. And until you eliminate
20 the alternative hypothesis, you haven't done
21 science.

22 DR. WEISENTHAL: I would like to then
23 eliminate the alternative hypothesis and prove to
24 Dr. Burke that we have indeed done science in
25 this setting.

00261

1 DR. FERGUSON: Can you do that in the
2 final hour?

3 DR. WEISENTHAL: Okay.

4 DR. BOSANQUET: It was stated that
5 there was no independent validation of this. We
6 actually took the data that we published in the
7 Lancet the following year. This paper that we're
8 discussing is 1990; we published this work in
9 1991 in the Lancet, using CLL patients. And we
10 also looked at extreme drug resistance in these
11 patients.

12 We got this. We found 22 of 119
13 patients had extreme drug resistance in vitro,

14 and none of these patients responded. So here is
15 one of the things that we would speak to, which
16 was independent validation in a completely
17 different set of circumstances in a different
18 laboratory, and we find exactly the same thing,
19 extreme drug resistance, no response.

20 DR. FERGUSON: Using the same cutoff
21 points that were determined by Kern Weisenthal, I
22 guess.

23 DR. BURKE: Just to respond briefly to
24 that, two points. One, that is not a replication
25 of the 1990 paper.

00262

1 And number two, I do suspect that
2 that's exactly correct, that it is disease and
3 treatment specific. And that's exactly my
4 point. That's exactly my point. You have to
5 talk a specific disease, a specific treatment,
6 how does the test do? Not a conglomeration of
7 diseases and treatments together. That's exactly
8 my point. Thank you.

9 DR. FERGUSON: Thank you. Dr. Burken.

10 DR. BURKEN: Hi, everybody. Can
11 everybody hear me okay? I am Dr. Mitch Burken, a
12 medical officer with the coverage and analysis
13 group at HCFA. What I'd like to do is try to tie
14 together some of the presentations from earlier
15 in the day. There will be a lot of material in
16 here that you've seen before, but what I want to
17 do is try to wrap it up, and wrap it up in a way
18 that's consistent with Dr. Bagley's opening
19 remarks around 8:00 this morning, looking at the
20 broad sweep of the evidence, not spending as much
21 time on specific papers as much as trying to see
22 the bigger picture, cutting across many assay
23 formats.

24 Well, as I said, for the first several
25 minutes I want to be as conceptual as possible,

00263

1 and then we'll get more into the bulk of the
2 evidence itself.

3 But let's think about why we would
4 order any type of lab test, okay? A lab test has

5 its maximum clinical utility when the disease
6 probability is most uncertain. In other words,
7 we heard a little bit about the 50-50 point, and
8 the naive Bayes condition, and so forth, but let
9 me just try to restate that in a slightly
10 different way. If we have any type of lab test
11 we're looking at, and exploring questions of
12 clinical utility, okay? What's the probability
13 that the patient has a disease? If a patient is
14 very unlikely to have the disease, then what kind
15 of information do you have when you get a lab
16 test result? It's certainly not very very high.

17 And the reciprocal situation, where we
18 have a very very high probability or prevalence
19 of disease, and then the lab test doesn't really
20 add a whole lot, because we're almost positive
21 the patient has the disease. It's when we're
22 unsure of ourselves, and when we are at that
23 50-50 point, that's when a lab result can really
24 begin to add value.

25 Well, where are we in the Medicare

00264

1 program? The panel is charged with trying to
2 demarcate what's reasonable and necessary with
3 respect to human tumor assay systems, okay? And
4 we need to find a spot in this, or we need to
5 kind of bracket an area of this graph where lab
6 testing -- and again, we'll talk about the HTAS
7 in a second. But where is lab testing most
8 reasonable and necessary? Where does it add
9 information?

10 Let's talk about now about applying
11 this more generic situation to human tumor assay
12 systems. Well, let's talk about the
13 chemosensitivity scenario. We talked all day
14 about how this testing can assist clinicians in
15 selecting effective single agents. Okay?
16 Conversely, the chemoresistance scenario is where
17 this assay, or where these assay systems can
18 avoid ineffective agents. And what's our
19 reference here? The reference is data from
20 published clinical trials; maybe they're in peer
21 reviewed journals, maybe unfortunately they're

22 just in abstracts that are available at ASCO
23 meetings. But again, there is information from
24 clinical trials that does provide a backdrop
25 against which one can look at this lab testing

00265

1 and the added value thereof.

2 So let's go back to our graph again.

3 In vitro testing has the greatest clinical
4 utility when the presumed sensitivity or
5 resistance, because remember, they're really
6 reciprocal functions of each other, is most
7 uncertain. And going to our X axis here, what's
8 the real question? The question is, is tumor X
9 sensitive or resistant to drug Y in patient Z?
10 Therefore, we need to be specific as to what
11 questions we're posing.

12 Well, let's talk some more about
13 clinical utility, because as I said, what we want
14 to do is look at the broad sweep of the
15 evidence. We've talked about different outcome
16 measures today; we've talked about clinical
17 response; survival; we've talked even a little
18 bit about quality of life, although in the packet
19 of materials there is really not a lot of quality
20 of life literature to discuss, so we won't really
21 get into that.

22 And in looking at clinical responses
23 and outcome, we need to identify robust
24 two-by-two data using a valid gold standard, and
25 from there we can look at different performance

00266

1 measures. In this case, I think it's valuable to
2 look at positive predictive value as a marker for
3 chemosensitivity, and negative predictive value
4 as a marker for chemoresistance. One could also
5 talk about the sensitivity or specificity, but
6 let's try to keep it just a little bit simpler,
7 let's focus in on some concepts, and not worry so
8 much about the math. There are others in the
9 room who may be more expert, but let's try to
10 keep it simple, and not get too wrapped up in the
11 numbers, but let's try to get wrapped up until
12 the themes and the concepts.

13 As we discussed earlier this morning,
14 as Dr. Bagley emphasized, we have to insure that
15 the biases, such as insufficient sample sizes,
16 don't substantially influence our results.

17 Going back to our graph now, in 2-D
18 rather than 3-D, let me emphasize a point that
19 I've said already, but let me reemphasize it
20 again. That if you are at the extremes of this
21 utility function, okay, where the lab test,
22 whether it's human tumor assay system, or a serum
23 sodium, or whatever it is, or a chest x-ray, any
24 type of diagnostic test, if you're at the extreme
25 regions of this utility function, it doesn't

00267

1 really matter what your predictive values are.
2 If the predictive value is high, it can be offset
3 by the fact that you are in an extreme region of
4 the utility function where those numbers don't
5 really mean as much. Okay? And we'll talk more
6 about that. Okay?

7 Well, what kinds of measures do we need
8 to evaluate test accuracy? The ones that I
9 talked about below, predictive values, but there
10 are also sensitivity, specificity, area under the
11 ROC curve, but let's talk about something else.
12 What about some of the physician concerns. In
13 the lab, what kinds of things can a physician
14 tell his or her patient when a particular tumor
15 can or cannot be assayed by the lab?

16 On the right side of the slide are what
17 I would call the quality control measures, and
18 we're not really going to spend time on those in
19 this particular, at least my particular
20 presentation, but you've heard from FDA earlier.
21 So let's just kind of stay on the left-hand side
22 of the slide for now.

23 Well, just to get back to a couple of
24 those issues that really cut to the heart what
25 physician concerns might be, you know, is there

00268

1 sufficient assessability or evaluability of the
2 tumor cells from the submitted specimens? And we
3 found out that some of the earlier clonogenic

4 assays had very very -- had relatively low
5 assessability or evaluability rates. But let me
6 pose another question.

7 Even if a particular assay format is
8 evaluable 90 percent of the time, it still might
9 mean that 10 percent of the time the physician
10 speaks with his or her patient, and they really
11 just can't get an adequate result. So I think
12 that's an issue. You know, even if it's 90 or 95
13 percent, there is still some percentage of the
14 time when you don't have a result and you come
15 back. Okay?

16 There are other issues that come into
17 play. What's the effect of tumor heterogeneity?
18 We talked a little bit this morning about tumor
19 heterogeneity, but there's another type of tumor
20 heterogeneity as well, and that's the type that
21 can occur within the same patient, so that a
22 primary tumor and its metastatic lesions have
23 different in vitro patterns. And again, that is
24 a consideration to keep in mind when we're
25 thinking about this type of testing.

00269

1 So as I mentioned, in vitro results for
2 solid tumors from one site may not always provide
3 the same result as other sites. However, there
4 is a paper back in 1986, we'll get to it a little
5 bit later, I'll touch on it again, but it shows
6 that this problem may not be quite as pronounced
7 in clonic lesions such as leukemias.

8 Well, there is a whole host of in vitro
9 assay formats and we can, as I say, just kind of
10 go through those. But I think it's important to
11 mention at the end here that we will not in this
12 presentation be going through the clonogenic
13 literature. When we reviewed this material at
14 HCFA, we didn't feel there would be a lot of
15 value in discussing the older technologies that
16 had the lower evaluability rates, and just felt
17 it would be better to present it to the panel
18 this way.

19 Well, what kinds of criteria do we use
20 to evaluate the literature? Again, our goal here

21 is to be broad based. We looked at peer review
22 journals in English. There were some manuscripts
23 pending publication that were necessary for panel
24 discussion. There were a couple of the assay
25 formats that were relatively recently developed

00270

1 that we felt we would not be fair to the
2 requesters if we excluded some of the
3 manuscripts. There was, for example, Bartels
4 chemoresponse assay was FDA approved back in 1996
5 and package inserted in the summary of the safety
6 evaluation data was included as a way of
7 evaluating that. And we did not look at abstract
8 data.

9 Based on that, what types of additional
10 search methods? Well, we -- again, we looked at
11 articles submitted to HCFA prior to November 1st,
12 1999.

13 DR. FERGUSON: Mitch, can you speak
14 into the microphone?

15 DR. BURKEN: Right. When we started
16 reviewing this sometime, sometime before the
17 panel itself, we found that the Fruehauf and
18 Bosanquet review article from the 1993 PPO
19 updates, crystallized many of the issues. And
20 what we did, based on it, there were some summary
21 tables that were actually presented this morning,
22 where they looked at groups of studies. And I
23 again refer you to summary table seven and eight
24 from the 1993 PPO. And as a result, we really
25 focused our efforts, our literature efforts on

00271

1 the EDR, as well as also some of the other
2 thymidine assays, because there were some other
3 thymidine uridine incorporation assays pertinent
4 to bring to the panel. And then we also did a
5 lot of sampling of DiSC and MTT, using a MEDLINE
6 search, and we did not have any time limit on our
7 studies.

8 And when we went through and did our
9 literature search, then we had to figure out what
10 we would want to present to the panel. And since
11 clinical response was one of the outcomes we

12 looked at, as well as survival, we needed to have
13 confidence in the viability of our two-by-two
14 tables. So as a result, any study that lacked
15 the clinical criteria -- either the -- the
16 clinical criteria either had to be documented or
17 referenced, you know, for clinical response. We
18 only looked at adult patients.

19 And just for the record here, in the
20 rather extensive handout which has been provided
21 for this session, we do list the pediatric
22 studies that have not been summarized in this
23 presentation, but there is a notebook of all the
24 studies that are being presented in this
25 presentation, are available. I know it's kind of

00272

1 hard to read the whole notebook tonight, but as a
2 supplement to the materials you already received,
3 there are papers in here such that anybody that
4 has any questions about any of the bullet items
5 from this afternoon's presentation can go back to
6 this, as well as your other materials.

7 We included both prospective and
8 retrospective two-by-two data designs. The only
9 thing we did exclude for this, again, for this
10 panel presentation, were descriptive type studies
11 that didn't use any quantitative summaries.
12 There were some studies that went beyond
13 two-by-two tables, used regression analysis and
14 some other techniques, and those were included.

15 Now talking about all these studies,
16 you know, how can we present these studies to the
17 impact panel? Can we group them or pool them, or
18 do we need to go through them individually? It
19 was something that we really had to spend some
20 time thinking about. And we came to the very,
21 very strong conclusion that data from the
22 individual studies should not be pooled. The
23 reason being is that they're, the studies are so
24 heterogeneous, they use different cutoff points,
25 different tumor drug combinations, different

00273

1 clinical response criteria, that we just felt
2 very uncomfortable about doing a meta-analysis

3 for the purposes of presenting data to the panel,
4 okay? So therefore, each study must be presented
5 on its own merit, and I think that's a
6 fundamental approach to presenting data this
7 afternoon, and probably lengthens the
8 presentation a little bit, but we feel it's
9 important.

10 So now, let's just go through the
11 evidence. Let me just walk through the handout
12 with you. I don't -- there is a lot of bulk on
13 my slides, but again, it's in the handout and it
14 is really set up to be a reference guide to
15 trying to put it all together.

16 The assay formats I start with are not
17 based on cell death versus cell proliferation.
18 It's not done that way. I went from the assay
19 formats that we concentrated on, as in the EDR,
20 the DiSC and the MTT, where we really had the
21 most literature, and then towards the end I have
22 some of the other formats where there was a
23 little less literature that came up, based on the
24 criteria that were described in previous slides.

25 The Kern and Weisenthal article from

00274

1 1990 is a complex article that was referred over
2 to Dr. Burke and Dr. Handelsman for separate
3 review. Again, a central piece of evidence, but
4 highly complex.

5 But let me go through some of the other
6 articles that pertain to EDR as well as some of
7 the other thymidine uridine incorporation
8 formats.

9 Eltabakkh, '98, shows, you know, some
10 PPVs and NPVs. There were some confidence
11 intervals that are reported. As you can see, the
12 NPVs in this study is actually fairly low. Let
13 me start, as I said, rather than to go through
14 all the bullets, let me try to highlight what are
15 some of the themes. As I said, there were a
16 hundred new patients with ovarian cancers. We
17 find out in this study, all the patients were
18 recruited prior to chemotherapy, which is
19 important when we think about selection bias.

20 And we found 75 evaluable patients, so we went
21 from about a hundred down to 75, which is really
22 pretty good.

23 Fernandez-Trigo is a study, again, that
24 also has some case loss of about roughly 25
25 percent. But in this case, there was a very rare

00275

1 site cancer that was selected, so I would just
2 keep that in mind.

3 Moving on to some of the other
4 thymidine uridine formats, you know, I talked
5 about the CRA, the Bartels CRA, and it turns out
6 that there was a study by Elledge back in '95
7 that enumerates the findings of clinical trial.
8 And one little, kind of I suppose warning to the
9 panel when evaluating this paper, I mentioned
10 that NPV is a marker for chemoresistance and PPV
11 being a marker for chemosensitivity. Well, in
12 this particular article, not the article but the
13 package insert, and the summary safety and
14 evaluation data, it's flip-flopped, so you have
15 to be a little bit careful there. It turns out
16 you have to reverse that, so you have to really
17 be wide awake when you read these two-by-two
18 tables. And I mention that down here, that the
19 two-by-two table design differs from the other
20 studies presented, even differs from the Elledge
21 paper, which is -- the Elledge paper comes out
22 before the FDA submission data. And this was a
23 prospective blind enrollment of 60 relapsed
24 breast cancer patients. The interesting thing
25 about this particular assay format is that it was

00276

1 very specific for breast cancer and 5-FU.

2 Well, what about some of these earlier
3 five-day thymidine uptake assays? Sondak in '84
4 had a series of 142 patients with successful
5 assays out of a pool of 219, with 33 clinical
6 correlations. Quite a bit of case loss here,
7 even though, again, you know -- well, the numbers
8 are small, but the NPV, again, is high. You
9 know. But one, again, has to be concerned about
10 possible selection bias.

11 Sondak in '85, 819 mixed solid tumors,
12 again, if you use different cutoffs, you're going
13 to have different PPVs and NPVs.

14 Sanfilippo, in '81, there were several
15 studies on three-hour incubation, rather than the
16 five days, you know, and there were -- as I said,
17 you can see the numbers here. I think the
18 interesting thing about this particular study in
19 '81 was the use of subsets for high
20 proliferative and low proliferative non-Hodgkins
21 lymphoma cases.

22 And in '86, Sanfilippo, the same group,
23 went ahead and studied 169 patients with various
24 types of germ cell testicular tumors, but only 29
25 cases were available for clinical correlation.

00277

1 Again, we didn't really know how many people were
2 previously treated or untreated, and that could
3 inject some bias.

4 More three-hour uptake assays, two
5 studies by Silvestrini in 1985 and Daidone in
6 1985 from the same institution as Silvestrini.
7 Different tumor types.

8 Well, let's kind of move along, and we
9 finished up with the thymidine/uridine
10 incorporation assays, and let's move on to the
11 DiSC assay. And as I say, there were several
12 papers that were reviewed in the Cortazar and
13 Johnson article, which is the review article in
14 1999, which did a MEDLINE search, and targeted 12
15 studies, and four of those 12 studies were DiSC
16 assay approaches in solid tumors, three of the
17 four studies being small cell lung carcinoma, the
18 other study being a non-small cell lung
19 carcinoma. And I think, you know, in each of the
20 studies, the test groups did at least as well as
21 the control groups. The survival data was not
22 particularly convincing. In the Gazdar study,
23 the survival rates were similar; in the Wilbur
24 study, the survival rate comparisons were not
25 am. Again, that is survival rate of assay

00278

1 directed versus, you know, empiric therapy

2 groups. In both the Shaw and the Cortazar
3 articles, their survival rates were really not --
4 there was really not enough of a difference to
5 really hold much discussion.

6 But I think where we find a lot more
7 evidence, again, based on our structured review,
8 is looking at this, and hematologic tumors. And
9 we start with Dr. Weisenthal's study in '86 where
10 there is 70 cases. What we did was we subtracted
11 out the 29 cases of ALL. Again, it's just a
12 judgment case one makes as to how you want to
13 treat the pediatric tumors. I can tell you that
14 the pediatric performance table was just about
15 the same as for adults, and there weren't
16 significant differences, so I think what one
17 could --

18 DR. FERGUSON: Mitch, closer to the
19 microphone please, or maybe you should hold it.

20 DR. BURKEN: Yeah. As I said, one can
21 scan through some of the pediatric studies
22 quickly, and I think get a flavor for that. But
23 moving on, looking at the adult data, we had PPVs
24 and NPVs that were over 80 percent.

25 Dr. Bosanquet in 1999 had a fairly

00279

1 elegant study, and it was reviewed earlier
2 today. I will leave the details to the group.

3 Another study that was also discussed
4 earlier today was a study by Mason that did some
5 modeling. In this particular case, not only was
6 there some clinical response data and survival
7 data that was looked at, but there was some
8 modeling done using regressions, where if you --
9 I know that the print is a little small at the
10 bottom, but I just wanted to mention that if you
11 look at the life years gained per assay, the
12 modeling here said that if you had a simulated
13 50-year old with stage C chronic lymphocytic
14 leukemia, there would be a life years gain would
15 be about six months, and about three weeks if it
16 was a simulated age 70 stage AB female. Again,
17 these are all simulations that are based on the
18 assumptions in the regression modeling. But it's

19 a little bit more of a sophisticated approach, as
20 I said.

21 And continuing on, there's been, as I
22 said, a fair amount of work in hematology.
23 Tidefelt in 1989, with more than 90 percent of
24 the patients not being previously treated. There
25 is a complex predictive value studies in this

00280
1 paper with varying anthracycline concentrations
2 and different treatment regimens. But if you
3 flush out all 40, actually 40 out of 53 patients
4 were available for clinical correlations, and you
5 can see the PPVs and the NPVs.

6 To go on now, to continue more on the
7 hematologic DiSC studies, Bird in '86 was a small
8 study. That's the one I quoted earlier because
9 there was peripheral blood and bone marrow that
10 were used as two separate sites. And there
11 seemed to be reasonably good concordance between
12 in vitro testing, between peripheral blood and
13 bone marrow. But again, I caution, the sample
14 sizes are fairly small here. Bird in '88, again,
15 another small sample study.

16 I'm going back just some more. More
17 small sample studies. Dr. Bosanquet in 1983.
18 Dr. Beksac in '88. I think the interesting thing
19 about this study was it's kind of a mixed
20 retrospective prospective approach, so it was a
21 somewhat complicated study even though there were
22 only 16 patients.

23 Dr. Bosanquet's 1991 study that was
24 actually just up on the screen a few minutes ago,
25 showed 67 patients with CLL where there was a

00281
1 survival benefit. But just before we leave DiSC
2 and the hematologic applications, you know, there
3 were some articles that didn't have documented
4 clinical criteria, and you see down, Dr.
5 Bosanquet's article. Again, we certainly looked
6 at the survival data, but we didn't feel that the
7 clinical criteria were adequately specified in
8 this particular paper even though, as I said, it
9 showed some survival data.

10 And Kirkpatrick from 1990 was a paper,
11 again, all in the backup book here that has some
12 pediatric data.

13 Moving on to MTT, several articles did
14 not have documented clinical criteria so that for
15 the purposes of this panel presentation, we
16 didn't feel it would be useful to present
17 clinical response data. And it's interesting
18 that three -- we talked about the 12 studies from
19 Cortazar and Johnson. Three of them were Yamaue,
20 1991, 1992 and 1996, but unfortunately, none of
21 those articles had, you know, adequately
22 described criteria, and we just didn't feel we
23 could construct good enough two-by-two tables.
24 And the survival rates in these studies do not
25 compare test versus control groups. And so, the

00282

1 pediatric neoplasm studies are listed there.

2 Veerman, I think was also mentioned this
3 morning. But again, you know, it's our choice.

4 Well, let's move along then to some of
5 the solid tumor studies for MTT, and this goes
6 more or less in chronological order. We had Suto
7 in 1989, with GI solid tumors. Again, a very
8 small number of clinical correlations are
9 available.

10 We had Tsai in 1990. This was from
11 cell lines from 25 patients with small cell lung
12 cancer. In this case we have regression modeling
13 as opposed to two-by-two data.

14 Furukawa has a larger sample, but
15 again, only 22 patients available for clinical
16 correlation. So the numbers may be high, you
17 know, an NPV of 100 percent and a PPV of 75
18 percent but again, with small sample sizes and
19 this degree of case loss, one really has to
20 wonder about the possible selection bias. And
21 then we see some survival benefits in this
22 study.

23 Saikawa in 1994, 50 patients, 40 of
24 whom received post-surgical chemotherapy. This
25 was basically just divided up into two groups, an

00283

1 adaptive group versus a non-adapted group.
2 Again, we have some survival data here as well.

3 Sargent, '94, 206 confirmed or
4 suspected epithelial ovarian adenocarcinoma
5 patients. 37 were previously untreated. And
6 again, we have a -- we were able to have survival
7 data on 37 of those 206.

8 We have a more recent study by Taylor,
9 again, stage three, four, previously untreated
10 adenocarcinoma. 43 available for clinical
11 correlation, or roughly 50 percent out of the
12 starting 90 were finally available after you
13 consider tumor evaluability and clinical
14 correlation. And we have a couple of subgroups
15 here for all treatments and platinum only.

16 Xu, 1999, it's in your packet, your
17 original green book. 156 advanced breast cancer
18 patients. And they actually noted in the study
19 itself that the source of selection bias -- well,
20 they didn't say they had selection bias, but they
21 did say that they preferentially recruited worse
22 prognosis patients in the MTT directed versus the
23 control group, which was certainly a source of
24 concern for those reviewing it.

25 And just a -- hematologic MTT tumors,

00284

1 there's just a lot of those. If you go several
2 slides back, a lot of those studies like Veerman
3 and Hongo, and Hongo were excluded because they
4 were pediatric studies, but if you look at 23
5 patients with de novo AML and five in CML blast
6 crisis, 21 were available for clinical
7 correlations, with again, good looking predicted
8 values, but I think people should evaluate the
9 robustness of the numbers.

10 Then, just to kind of close out, again,
11 we wanted to be fair and not just presenting the
12 thymidine incorporation assays as well as DiSC
13 and MTT, so we did look at some studies from FCA
14 and some of the other assay formats. Leone had
15 78 cases in 1991. This is again, for those of us
16 that are trying to keep up with the different
17 abbreviations, this is the fluorescent cytoprin

18 assay, and see, the Leone study.

19 And then Meitner in '91 actually
20 extended the Leone data set and worked it up to a
21 total of 101 cases with similar NPVs and PPVs.

22 FMCA is a similar fluorescent method, a
23 little bit more recent. Some of the literature,
24 a Larsson study had 43 samples with 27 clinical
25 correlations. Again, the numbers look pretty

00285

1 good. In this circumstance we did find
2 blinding. I'll tell you a little bit about
3 blinding towards the end, but not terribly often
4 did we see evidence of blinding in the studies.

5 Csoka is a more recent article, as I
6 mentioned. 125 patients with newly diagnosed or
7 relapsed ovarian cancers. 45 available for
8 clinical correlation. He did have a breakdown of
9 previously treated versus drug naive patients.
10 Blinding again was reported. And there was, in a
11 small group again, an NPV of 100 percent.

12 Again, moving along, Dr. Nagourney was
13 kind enough to submit a manuscript to HCFA on his
14 apoptotic assay. One thing I would mention is,
15 or question I would raise is, the manuscript was
16 just a summary manuscript, and from it we were
17 not able to determine how the EVA assay improved
18 treatment management beyond empiric treatment
19 regimens for the refractory patients. So that is
20 a question.

21 Then we also looked at several of the
22 HDRA papers that were submitted to us by Dr.
23 Hoffman and his company. Many of the articles
24 that were submitted to us are in a -- again, all
25 of it is available to the panel in a notebook

00286

1 form, but what we did is we pulled out, and
2 they're also in here, the four, three articles in
3 a manuscript that are clinical correlations.
4 Many of the other patients were experimental and
5 pharmacologic studies.

6 I might add that there was a lot more
7 material that was submitted to HCFA than my
8 presentation would suggest, many many more papers

9 that we looked at. But many of them were
10 experimental pharmacology studies, and we didn't
11 feel that this particular venue looking at
12 medical necessity would be quite the right place
13 to get into a lot of extensive experimental
14 pharmacology.

15 So looking at these four papers from
16 HDRA, again, small sample sizes, but again, you
17 can see the NPVs. Again, very few of the studies
18 had confidence intervals calculated, as you can
19 see throughout my presentation.

20 Furukawa in '95, this was presented
21 earlier in the day. Post-surgical stage three to
22 four patients. Mixture of gastric and colorectal
23 tumors, and similar findings that we've seen.

24 Kubota, 1995, stage three four gastric
25 cancer with somewhat -- when I bulleted these for

00287

1 you, what I have done is I've not gone into all
2 the different subgroupings, so therefore, some of
3 the sensitive groups range from a sample of 20
4 to 38, and the resistant groups from 89 to 99,
5 but I have not gone into great detail to specify
6 all those subgroupings. I am trying to give the
7 main message here.

8 And then Dr. Hoffman's article that's a
9 manuscript in press. Again, more gastric and
10 colorectal tumors.

11 Well, as we try to summarize all the
12 literature and take this kind of view from the
13 hillside here, the Cortazar and Johnson article
14 helps do that a little bit, in the sense that
15 they've selected out 12 prospective trials. I
16 mentioned that four of them were the DiSC studies
17 that I outlined, the three MTT studies that I
18 didn't present to the panel because of the lack
19 of documented clinical criteria, and five of
20 those 12 studies were the earlier clonogenic
21 assays.

22 Overall findings from the 12 study
23 review, showing that only a small percentage of
24 patients have actually been treated with an in
25 vitro selected regimen, and that's certainly

00288

1 consistent with many of the studies that I have
2 presented along the way, demonstrating case
3 loss. And most of the patients have had advanced
4 stage solid tumors. The overall assessability
5 rate only being 72 percent, but I think it's
6 really quite fair to mention that five of those
7 12 studies were from the earlier clonogenic
8 methods, so that would have a negative impact on
9 the overall evaluability rate since again, we're
10 talking about five assay formats that were not as
11 technically advanced as DiSC and MTT.

12 And these trials, the response rates
13 among the directed therapy patients were at least
14 as good as those achieved with empiric therapy,
15 and five of the 12 trials illustrated survival
16 data for the directed versus empiric therapy, but
17 it was difficult to determine overall trends in
18 these five studies, including three DiSC trials.
19 In only one of those trials was there
20 randomization, and in that particular trial all
21 the experimental arms consisted of small sample
22 sizes.

23 So where are we, at nearly the end of
24 the day here? I think we found in going through
25 this systematic review that there is not strong

00289

1 convincing medical evidence to support the
2 overall clinical utility of human tumor assay
3 systems. The comprehensive literature review
4 demonstrates that there were many different tumor
5 drug combinations among different studies and
6 this made it difficult to really make conclusions
7 about particular tumor drug combinations because
8 of this variability. And that's really kind of
9 what I would call a structural feature of
10 reviewing so many articles. Many of them had
11 small sample sizes. We had frequent selection
12 bias, recruiting documented or possible
13 refractory patients.

14 Remember, let's go back to our utility
15 function where we are thinking about being in the
16 center of that function or at the extremes, and

17 if you are recruiting patients into the study who
18 are at the extremes of that utility function,
19 then there is a concern that regardless of
20 whether the negative predicted values are high or
21 not, you're not getting a lot of clinical
22 utility. And in the same vein, by recruiting
23 advance stage patients, you may be getting
24 yourself into a situation where without lab
25 testing, you pretty much know that a patient

00290

1 isn't going to respond anyway, therefore your
2 negative predictive value or your positive
3 predictive values are going to be adversely
4 affected by such selection bias.

5 And I've noted before that there was
6 only rare or occasional documented use of
7 blinding.

8 Well, that's the broad sweep, but when
9 we go down and we look at it in a little more
10 detail, we really should note that there were a
11 relatively higher number of clinical correlations
12 available for DiSC and MTT assay formats. As a
13 result, the human tumor assay systems may have a
14 greater potential clinical utility for
15 hematologic neoplasms such as CLL, where there
16 has been really a fair amount of work, then solid
17 tumor. And when considering this literature,
18 let's never forget, you know, the importance of
19 evaluability and heterogeneity in making
20 determinations.

21 And again, we are still in the tough
22 spot of trying to apply single agent drug tumor
23 interactions to multiple agent regimens, and I
24 think a certain amount of inferences have to be
25 made from these, you know, in vitro studies.

00291

1 Thank you.

2 DR. FERGUSON: Thank you. Dr.
3 Bosanquet?

4 DR. BOSANQUET: Thank you, Dr. Burken,
5 for summarizing that. I'm glad to see the up to
6 date work has been included in the production.

7 You spent some time on your clinical

8 utility curve at the beginning. I wonder if you
9 could explain to us all how that was
10 mathematically derived, because I would have
11 drawn a different curve.

12 DR. BURKEN: Well, one could, I suppose
13 one could argue that rather than being a
14 triangular distribution, it could be a normal
15 distribution and have a slightly different look
16 to it. But I think what we ought to do is agree
17 on the fact that a lab test is most valuable when
18 you're most unsure of whether the patient has a
19 disease or not.

20 DR. BOSANQUET: I quite agree with
21 that.

22 DR. BURKEN: I think that's a critical
23 point, and I think that ought to be established,
24 and that the value of any lab test is going to
25 drop off considerably if you're at the extremes

00292
1 of prevalence.

2 DR. BOSANQUET: Well, that's the bit
3 that I would necessarily disagree with. You have
4 drawn a triangular curve here, if I can call it a
5 curve. We all agree, I think, with the 0 percent
6 on the left and the 0 percent on the right, or
7 the low added information at both left and right,
8 and the very high added information in the
9 middle. But just the shape of that curve, and
10 you have spent some time on it, and I just would
11 ask you again, how did you mathematically define
12 that? Because if you look at the Bayesian
13 curves, I think you would find a mathematical, if
14 you define that mathematically from the Bayesian
15 curves, I think you'd get rather a different
16 curve, and your conclusions from this bit of the
17 talk would then be different.

18 DR. BURKEN: Yeah. Let me just say
19 that I, you know, I'll admit up front that this
20 could have been a normal curve rather than a
21 triangular function. But the most -- rather than
22 getting bogged down in the mathematics, I think
23 it's important for the panelists to consider the
24 question of mapping out -- let me go to this next

25 graph. What we need to do is we need to kind of
00293

1 map out an area where we feel laboratory testing
2 is reasonable and necessary. Now we're not --
3 I'm not standing up here and telling you that
4 that cutoff point -- it happens that I have the
5 yellow box here at maybe 20 percent or 80
6 percent. I'm not coming out and telling you that
7 there's any mathematical validity to making the
8 box 20 percent and 80 percent. What I'm trying
9 to do is illustrate a concept of how a lab test
10 becomes less useful as it drops off away from the
11 50-50 point.

12 DR. BAGLEY: Would it be fair to say
13 that although the Bayesian curve which you
14 showed, which is mathematically derived, deals
15 with the probability of a correct diagnosis,
16 whereas what we're dealing with here is not the
17 probability but the clinical utility of
18 increasing that probability? I mean, as the
19 certainty of the disease goes higher, the
20 probability, you know, based on a combination of
21 tests, is also going to go up. But as that
22 probability becomes more certain, the clinical
23 utility or the incremental value of that
24 additional information becomes less. And I think
25 this is an expression of the value of the

00294

1 information.

2 DR. BOSANQUET: I quite agree with you,
3 but you see, many of these tests are used on
4 resistant patients, where the pretest probability
5 of response is very low. And what Dr. Burken is
6 implying by this curve is that if you have less
7 than 20 percent pretest probability of response,
8 then these tests aren't very useful. And I would
9 challenge him, and I think he admitted that this
10 is not mathematically defined.

11 If we could just have a look at the one
12 slide that I've got? We've seen this slide
13 before, and the important thing is, if you take a
14 pretest probability of response of, say 5
15 percent, Dr. Burken was suggesting that anything

16 below 20, the test was not going to add any
17 information. But if you look at this, the
18 information is added at very low levels, because
19 if you take a patient who has a pretest
20 probability of response of 5 percent, you can
21 split that into test sensitive patients who have
22 a probability of response of 20 percent, and
23 those who have a probability of response of 1
24 percent. And I think that's, I would disagree,
25 and I think that would be a useful addition of

00295

1 information to those patients with very low
2 pretest probability of response, so anything from
3 5 percent on. And therefore, I would suggest
4 that the curves you were showing were somewhat
5 misleading. That's all I'd like to say.

6 DR. BURKEN: Yeah. What I'm going to
7 do is I'm going to let Dr. Burke pitch in a
8 little bit with some of the mathematics. But
9 again, I want to emphasize that the schematic
10 that was put up did not, you know, was not --
11 that box did not mean to imply that 20 percent or
12 80 percent or 30 percent would be some type of
13 cutoff that this panel would be expected to
14 respond to. The diagram is simply there to
15 conceptually show in actually probably more even
16 a qualitative way than a quantitative way, that
17 there is simply less value from a lab test among,
18 in a situation where you are very sure of a
19 disease, or you think the probability of disease
20 is so low, that's also another scenario where the
21 test wouldn't be terribly useful, and that the
22 inference from that diagram -- so let me flip it
23 later on to this one.

24 And again, please don't read any
25 cutoffs in here that, where the red triangles

00296

1 begin at 20 percent or 80 percent, please don't
2 read it that way. But the purpose of that
3 diagram is simply to show that at the extreme
4 regions of low probability or high probability,
5 if you have studies with selection bias, where
6 patients who were recruited into a study are in

7 the extreme regions of that utility function,
8 what it can do is detract from the power, or I
9 don't want to use that word power because that's
10 a statistical term and I'll get myself into
11 trouble with some of the statisticians. It can
12 detract from the ability to use positive and
13 predictive negative values as a marker of
14 clinical utility. You just have to be aware of
15 what kinds of patients you have in your study
16 before you can go to bat with high NPVs or PPVs
17 to make a case for a lab test, any lab test.

18 DR. FERGUSON: Dr. Burke.

19 DR. BURKE: Thank you. There's a
20 couple points that have to be made. One is, when
21 you -- I mentioned briefly the 50-50 situation,
22 which is the fair test for a test. But what
23 happens is if you look at the accuracy of a test,
24 it's very difficult to find therapy dependent
25 prognostic factors that are really accurate.

00297

1 It's really hard to do. If you're looking at
2 estrogen receptor status, the area under the ROC
3 is about .62. Okay? So it turns out that these
4 factors are fairly weak. This test is a therapy
5 dependent prognostic factor. And the issue
6 becomes, if your test has an accuracy of .62, but
7 being a naive Bayesian gives you an accuracy of
8 90 percent correct, okay, then the issue is, what
9 are you going to be? So the bottom line is that
10 you have to look at the marginal utility of your
11 test in relation to the population you're using
12 it in.

13 And I think what Mitch's slide is
14 pointing out is not a particular shape, but the
15 fact that it becomes harder and harder to exhibit
16 any marginal utility as the prevalence of the
17 disease goes up. Because eventually, you're
18 going to become a naive Bayesian, because that is
19 the correct approach when the prevalence becomes
20 very high. And in fact, it's true, that is the
21 correct thing you should become, because your
22 test is not as good as predicting the
23 prevalence.

24 Now, the other thing is, you could say
25 well, maybe my test can help a little bit at the
00298

1 limit. But the problem is, your test has a
2 variance associated with it. And then the
3 question becomes, as the prevalence goes up, it
4 becomes almost acenotic, and so you have very
5 very little room to move, and your test variance
6 can take up that room, so you'll never know
7 whether you're doing anything good or not.

8 DR. FERGUSON: Dr. Fruehauf?

9 DR. FRUEHAUF: I would like to thank
10 Dr. Burken and Dr. Burke for telling me that I
11 should be a naive Bayesian, although I don't know
12 what that is yet. I think statistically, I'd
13 like to use this curve, because I agree with
14 this. I think this is true. I think your points
15 are valid and I'd like to use this as an example
16 of how there is a relationship between what we're
17 talking about and what you're talking about,
18 because I don't think they are separated.

19 Now, let's use this curve. Here we
20 have the probability of response in the middle of
21 50 percent, because we're using these tests to
22 predict response, not whether disease is there,
23 so we're making an assumption that disease is
24 equivalent to resistance or sensitivity; true?

25 DR. BURKEN: Well, I'm a little

00299

1 concerned. You know, we may not want to overlay
2 this one issue.

3 DR. FRUEHAUF: This is your model, and
4 you're relating it to in vitro drug response, so
5 please tell me, how does this curve relate to in
6 vitro drug response? What is the relationship
7 between the X and Y axis, and treating patients
8 with chemotherapy?

9 DR. BURKE: Well, let me tell you the
10 way I would choose to use it, okay? And just to
11 make sure we're on the same wavelength here. The
12 way this graph is designed is that that block,
13 the granite block in the middle that's gray,
14 wherever we should have those cutoffs, and we

15 won't argue about that, demonstrates that there
16 is a lot of information added by lab testing in
17 that region, because there is enough uncertainty
18 about whether a patient is either resistant or
19 sensitive to that particular drug, and again,
20 they're reciprocals of each other, so I can use
21 them interchangeably.

22 DR. FRUEHAUF: Okay. Can I go from
23 there? I understand that.

24 DR. BURKE: But let me say, let's not
25 talk about that it measures response. It's the

00300

1 height of the graph, the Y axis, is how much
2 value there is from the lab test result.

3 (Inaudible question from audience.)

4 DR. BURKE: Basically it is simply
5 saying that the greatest uncertainty is at 50
6 percent prevalence, right, of response,
7 non-response, whatever the case might be that is
8 your gold standard.

9 DR. FRUEHAUF: Sure.

10 DR. BURKE: And the issue simply is
11 that if you set your study for a 50 percent
12 response or non-response, and then you test your
13 drug or whatever in that population, then the
14 prevalence isn't going to help you or hinder you
15 in your predictions.

16 DR. FRUEHAUF: Yes, I appreciate that.
17 So let's take Tamoxifen and breast cancer,
18 previously untreated breast cancer. If we give
19 Tamoxifen to women without knowing their receptor
20 status, there's a 30 percent response rate across
21 the board. Okay? No test. Everybody gets
22 Tamoxifen, 30 percent response rate. Well,
23 that's okay, but can we do better? Let's get
24 receptors, and now treat according to the
25 results, and see if we change and enrich for

00301

1 response, and eliminate people from what can be
2 toxic therapy because of side effects. And what
3 we find is that if you have estrogen receptor in
4 the tumor, there is a 75 percent response rate.
5 And if you don't have those receptors, there is a

6 10 percent response rate. So my question to you
7 is, can you relate that knowledge and that test
8 to this curve for me?

9 DR. FERGUSON: I am going to take the
10 prerogative of cutting this off right now so the
11 panel can have a discussion. I'm getting hints.

12 DR. WEISENTHAL: Before this, you said
13 that I would have the chance to respond.

14 DR. FERGUSON: I did actually. It's
15 going to have to be very brief.

16 DR. WEISENTHAL: The point I was trying
17 to make, Dr. Burke was implying bad science or
18 sloppy science, or whatever. And also, HCFA in
19 their review specifically excluded the pediatric
20 ALL patients. I just want to make the following
21 very brief points.

22 Firstly, medicine is imperfect.
23 Secondly, medical oncology is inadequate. 70
24 percent of all the treatments that we give don't
25 work. More than half of the chemotherapies for

00302

1 non-FDA approved indications, none of which would
2 stand up to the level of rigor that Dr. Burke is
3 asking here.

4 So I think it's very important that you
5 have to look at the information as a whole.
6 Earlier in my presentation I presented what I
7 call the central hypothesis, and the central
8 hypothesis simply stated is this: You test
9 tumors in vitro, you get a spectrum of responses
10 in vitro, and that the responses in vitro are
11 related in some way to the responses in vivo.

12 I showed you 35 studies which were
13 admittedly, and you know, you got some detail
14 there, of variable quality, and some were very
15 marginal quality, but some, particularly the ones
16 that were excluded, and I don't think there is a
17 meaningful biological reason for excluding
18 pediatric patients, other than they don't get
19 Medicare, but the disease is enough similar.

20 But if you look at the work that was
21 done at the free University of Amsterdam, which
22 was excluded, and I want to tell you about that,

23 that would stand up, I believe, to Dr. Burke's
24 level of rigor. What they did there was they
25 first of all did their training set studies where

00303

1 they did their retrospective analysis. They got
2 their criteria for sensitivity resistance and so
3 forth. And then in a prospective blinded
4 fashion, using those criteria which had been
5 established from the retrospective study, they
6 prospectively tested it in the cooperative group
7 study in a double blinded fashion, published peer
8 reviewed in the journal Blood, which is one of
9 the most rigorously peer reviewed journals
10 around, and it showed absolutely astonishing
11 great results. And you have to include that
12 paper in the context of everything that you've
13 heard.

14 Now, the point that I wanted to
15 conclude with is that if all we're talking about
16 is validating an estrogen receptor, it would be
17 very simple. We're talking about one test, a
18 very common disease, breast cancer. But what we
19 tried to do, beginning 20 years ago, is we said
20 we have this morass, we've got hundreds of
21 diseases, hundreds of potential therapies, which
22 are increasing every year dramatically, and there
23 just has to be some way of matching patient to
24 treatment.

25 DR. FERGUSON: Okay. Thank you.

00304

1 OPEN COMMITTEE DISCUSSION

2 DR. FERGUSON: Are there some
3 questions?

4 DR. HELZLSOUER: I would just like to
5 throw out one comment, with the estrogen receptor
6 analogy is that the reason we know all this is
7 because they were done in clinical trials. They
8 were evaluated in clinical trials, and I didn't
9 want to lose that momentum for tomorrow's
10 discussion.

11 DR. FERGUSON: Other questions from the
12 panel? Let me just ask a point of order here.

13 (Discussion off the record.)

14 DR. FERGUSON: Mr. Barnes?

15 Mr. BARNES: Actually, I have a
16 question for Dr. Burke. Would it make any sense
17 to go back over data, or would it in fact be too
18 hard or impossible, to do a disease specific
19 analysis based on test by test? I mean, it seems
20 to me that we're all bumping up against the fact
21 that there is a bunch of different tests, and
22 about 30 different types of cancers.

23 DR. BURKE: No, I'm -- I, for all my
24 strong comments, I'm agnostic as to the test
25 itself. I have no opinion on it one way or

00305

1 another. But that is the only way to evaluate
2 the claim that they really want to make.

3 MR. BARNES: Right. But what I mean
4 is, using the data that are either in the
5 articles, or could the data be generated some
6 other way, to reevaluate.

7 DR. BURKE: Well, let me make two brief
8 comments. One is, it's striking that there isn't
9 a large cohort study for a particular disease,
10 which is what one would expect, given the
11 frequency with which this test seems to be done.
12 But number two, yes. For some diseases, for
13 example CLL, it may in fact be the case that
14 there is sufficient evidence, okay, to evaluate a
15 particular test for that particular disease. And
16 the reason why I say particular disease is
17 because diseases are kind of strange, as you well
18 know and I well know, and CLL is a very strange
19 disease, but it has its own characteristics
20 associated with it. And so yes, you'd want to
21 look at CLL in terms of CLL. Why CLL? You're
22 saying well, for this test, given the
23 characteristics of CLL as a disease, does this
24 test help us? In early stage disease, in late
25 stage disease, for particular treatments, if

00306

1 there are effective treatments, because remember,
2 for therapy significant prognostic factors, if
3 there is no effective treatment, then there is no
4 need for therapy specific prognostic factors.

5 MR. BARNES: Right. Well, let me ask
6 my question a different way. Of the 12 studies,
7 or 13 or 14 or whatever they are, is there a way
8 to go back to them and dissect out the
9 histologies, CLL or whatever, according to test
10 result, specific test by test, and get data? So
11 in other words, do you think that anyone, not
12 necessarily you, could go back to the actual
13 publications and dissect that out?

14 DR. BURKE: It depends on the
15 publication, it depends on the study. Some yes,
16 some no. It depends on the adequacy of the
17 study. Some studies you, like retrospective
18 studies, it would be very difficult to do that.
19 Prospective studies that were done properly would
20 be much easier to do, because you would have
21 complete information, which you most of the time
22 don't have in retrospective. But yes, it could
23 be done, if the data were there.

24 MR. BARNES: I'd just like to add a
25 couple of comments as well. Many of the studies

00307

1 on solid tumors and even hematologic tumors or
2 mixture of tumors, and the studies do not specify
3 histologic subtypes, and it becomes very
4 difficult to create a laundry list of studies by
5 disease type. I think if you go through the
6 handout from this presentation, you will see that
7 unfold, because I do specify the, you know,
8 whether it's mixed or what tumor types it is, you
9 know, and sometimes it's just very difficult.

10 DR. FERGUSON: Other questions from the
11 panel? I have a question I'll throw out. It
12 seems that in a number of the papers that we've
13 seen, when there were comparison groups that they
14 were, if there were patients whose cancer was
15 sensitive to a drug, they were given that drug,
16 whereas the other quote, control group, was one
17 who showed resistance, and those were allowed to
18 have physician's choice in the chemotherapy. Now
19 that seems to me to bias the two groups if the
20 test has any validity at all, so that they really
21 aren't comparable. That is, the ones with the

22 drug, the cancer showed sensitivity, were treated
23 by guidance from that test, whereas the ones that
24 didn't or were resistant, were treated by
25 physician's choice. And then one -- that group

00308

1 comes out worse, and why wouldn't we expect
2 that? And I guess I'm asking for a comment or an
3 explanation for why that's the best thing to do,
4 because it does not seem to me to be the best
5 thing to do. Yes?

6 DR. HOFFMAN: My name's Robert
7 Hoffman. We performed such a prospective study.
8 I think in the previous retrospective studies we
9 showed very extensive correlation between
10 survival and response in the drug response assay,
11 in our case the histoculture drug response
12 assay. So we then designed a trial as you
13 mentioned, comparing outcome of patients who were
14 treated by assay guided therapy if their tumors
15 were responsive in the assay, to clinician's
16 choice in the resistant patients.

17 I think it would have been unethical to
18 treat the resistant patients with the resistant
19 drugs as a matter of course. So that was, I
20 think, the criteria in our study. Of course the
21 next step, I think, would be an absolute
22 randomized trial where you separate the patients
23 beforehand, but I think if knowing someone is
24 resistant, given not only the data from our
25 studies, but the very very extensive data

00309

1 presented by the other groups here, I think it's
2 not, and respectfully in my opinion, it's not
3 ethical to treat with a resistant drug.

4 DR. FERGUSON: Are there any other
5 questions or comments? Go ahead, Dr. Klee.

6 DR. KLEE: The study that Dr. Bosanquet
7 alluded to, at one point in the presentation they
8 were talking about this MRC study, I guess is
9 ongoing, the randomization for, which really is
10 randomizing against use of the drug testing
11 versus not using the drug testing. Does that --
12 that seems like a rather fundamental type study,

13 and I was surprised that hadn't been done
14 earlier, it's ongoing now, but it would be sort
15 of the basis of much of the clinical trial work
16 that's been done on a lot of the therapeutic side
17 of things, so it just surprises me that there was
18 no published study along that line. And
19 apparently there are numerous difficulties in
20 trying to carry that out, but I don't know why
21 that hasn't been done or what has precluded doing
22 that.

23 DR. FERGUSON: Yes, Dr. Weisenthal?

24 DR. WEISENTHAL: As one who
25 participated in the design and funding of such

00310

1 studies, what I have to tell you, it's one of
2 these things that's easier said than done. In
3 1985 I had a large grant from the VA, had 31 VA
4 hospitals, it was a cooperative VA study in
5 multiple myeloma, standard therapy versus assay
6 directed therapy. It was several years in
7 planning, we had two national investigators
8 meetings, one was held here in Baltimore. A
9 tremendous amount of work and everything went
10 into that. What happened was that eight months
11 into the study, accrual was running only about
12 one-fourth of what had been projected, they
13 decided that the study just would not be ever
14 completed and so it was cancelled.

15 Subsequently, we got a study going in
16 the Eastern Cooperative Oncology Group, which was
17 to lead to a randomized trial in non-small cell
18 lung cancer. Again, in the first six months the
19 study accrued six patients, although we had 51
20 hospitals eligible to contribute patients, and
21 that was closed.

22 And I keep mentioning Dan von Hoff,
23 who's the most energetic effective clinical
24 trials organizer I've ever seen, tried several
25 times, and never completed a single prospective

00311

1 randomized trial. It's just much easier than
2 said, for all sorts of reasons, that we could
3 discuss over a margarita.

4 DR. FERGUSON: Thank you. Yes, Dr.
5 Burke?

6 DR. BURKE: The cooperative groups and
7 other randomized trialists are collecting frozen
8 tissue, and an issue that may be available to
9 you. I mean, they know the different treatments,
10 they know the outcomes, they have snap frozen
11 tissue. The question is, can these assays be
12 done on snap frozen tissue, because if they
13 could, the outcome is already known.

14 DR. FRUEHAUF: It would be really
15 wonderful if we could use frozen tissue for the
16 assays, and this is really one of the technical
17 issues of doing a prospective randomized study,
18 and we did this with the GOG. GOG-118 was a
19 prospective study, wasn't randomized, but to be
20 obtain fresh tissue at surgery, send it to the
21 laboratory, and I am a member of SWOG, and I
22 attend GOG meetings, I'm a member of ASCO, and I
23 can tell you that tissue banks are a great idea,
24 but they haven't really reached fruition because
25 of the logistical problems of moving tissue from

00312

1 one place to another is very difficult. And so
2 we have not -- you can't use snap frozen tissue;
3 it has to be preserved in a live state in media, and
4 transported so it gets there within 24 hours.

5 DR. FERGUSON: Thank you. Other
6 questions from the panel? Yes, Dr. Helzlsouer?

7 DR. HELZLSOUER: I have a question in
8 terms of these assays, and I'm having a little
9 trouble lumping them all together. But is it my
10 understanding that there is only maybe one that
11 tests combinations routinely, all the rest are
12 single chemotherapy assays?

13 DR. FRUEHAUF: The question of single
14 agents and combinations is kind of a tempest in a
15 teapot in a way. Every lab that I know of tests
16 drug combinations. We test drug combinations at
17 Oncotech, AntiCancer tests drug combinations, Dr.
18 Nagourney tests drug combinations, Dr. Weisenthal
19 tests drug combinations. I think one of the
20 issues that is fundamental is, is there drug

21 synergy, and if you don't test two drugs two
22 together where there could be synergy, what are
23 you going to miss in the information? And this
24 goes to the issue of why we use multi-agent
25 therapy. You are an oncologist and an

00313

1 epidemiologist, and I'm sure your thinking like
2 many oncologists is, we use multi-agent
3 chemotherapy because of the gold ecomin
4 hypothesis, that there are multiple subsets
5 within each tumor that are differentially
6 sensitive to different agents in the
7 combination. So when platinum was added to
8 testicular chemotherapy regimens, that additional
9 activity killed a subset that was there
10 microscopically. Even though people had CRs,
11 they weren't surviving.

12 So single agents should be active in
13 combinations. So our view is, if you test a
14 single agent and it can't reach its drug target,
15 there's extreme resistance to that single agent,
16 and it can't reach its target because of protein
17 or rapid adduct repair or what have you, that
18 single agent isn't going to add a synergistic
19 effect in the absence of its own effect. So,
20 Dr. DeVita, in the third edition of Principles
21 and Practices, made a statement in his chapter on
22 chemotherapy that combinations should always be
23 made up of active single agents. And so we look
24 for single agent activity against the cancer in
25 the salvage setting, and then we'll move this

00314

1 agent up into the adjuvant setting, when it's
2 been proven to have activity.

3 So single agent testing is predicated
4 on finding out if the single agent would have no
5 benefit as a single agent, it's unlikely then
6 that it would have benefit in a combination, but
7 we all test combinations.

8 DR. FERGUSON: But let me -- as I
9 recall reading these papers, that none of them
10 actually routinely were testing two agents
11 simultaneously on one. I mean, the majority of

12 the papers that we read and that Dr. Burken
13 presented single agents. Maybe serially they
14 would test several agents, but not together in
15 one Petri dish routinely.

16 DR. FRUEHAUF: Yes. I think that
17 Dr. Nagourney presented evidence, and I will let
18 them speak, but just for our role, we tested the
19 concept of whether single agent testing was
20 predictive in combination therapy in breast
21 cancer. So we took the single agents and looked
22 at their activity as single agents, and added up
23 their scores as I presented this morning, and
24 that was predictive of how the person did in
25 response to the combination. Now other people

00315

1 have tested combinations as well, and I'm sure
2 they will comment on that.

3 DR. FERGUSON: Okay. Was I misreading
4 these papers?

5 DR. HELZLSOUER: That's the same way, I
6 interpreted them the same way, they were all
7 single agent tests, and not combinations.

8 DR. FERGUSON: Yeah. I mean, all the
9 published stuff we saw was single agent.

10 DR. HANDELSMAN: The bulk of it was,
11 but not all of it.

12 DR. FERGUSON: Okay. Yes?

13 DR. HOFFMAN: Technically, to test
14 combinations is entirely feasible. We're dealing
15 with most of the tests with culture dishes,
16 culture wells, with medium. You can add one
17 drug, two drugs --

18 DR. FERGUSON: I don't disagree with
19 that.

20 DR. HOFFMAN: You can add ten drugs.
21 Most of the studies have, as has been mentioned,
22 have focused on single drugs to understand their
23 individual activity. We've done a study as yet
24 unpublished that shows predictivity to the
25 combination treatment for ovarian cancer as

00316

1 predicted by Cisplatin alone, but to mix drugs in
2 the cultures is technically trivial.

3 DR. NAGOURNEY: Yeah, if I might just
4 address that. Actually we specifically do focus
5 on drug combinations, and as Dr. Fruehauf alluded
6 to, most drug combinations are basically
7 additive, and in some cases subadditive or
8 antagonistic. There are a small number of
9 combinations that are genuinely truly
10 synergistic, and which are extremely attractive
11 and interesting as therapists. One of the most
12 attractive are the interactions between
13 alkylating agents or platinum, and
14 antimetabolites, a couple of examples of which
15 were cited in some things we referenced, one
16 paper in the British Journal of Cancer,
17 indicating true synergy between alkylating agents
18 and CDA, and that observation has now resulted in
19 a 100 percent response rate in an ECOG trial.

20 Similarly, Cisplatin and Gemcitabine as
21 a related combination in solid tumors is
22 presenting us with really one of the most active
23 combinations we've ever seen in medical oncology,
24 but those are actually pretty rare. So I think
25 for the most part, most drugs are intelligently

00317

1 given as single agents, but there are a few very
2 beautiful examples of synergy, and they can be
3 test.

4 DR. FERGUSON: Is this in response to
5 that?

6 DR. KERN: Yes. Just briefly. In the
7 now famous, or perhaps infamous Kern and
8 Weisenthal paper of 1990, we had a cohort of 105
9 patients that were treated with combinations, and
10 we showed that --

11 DR. FERGUSON: I don't doubt that the
12 patients are treated with combinations. The
13 issue was, was the test done with two drugs?

14 DR. KERN: That's correct. All the
15 drugs were tested singly and in combination in
16 the laboratory, and correlated with the clinical
17 with the clinical response.

18 DR. FERGUSON: That wasn't clear, at
19 least to me.

20 DR. KERN: I understand. It's in Table
21 5 of that paper. Thank you.

22 DR. HELZLSOUER: Another concern I have
23 which hasn't been addressed, and we didn't really
24 have it in our packet, were the reproducibility
25 issues of these tests. Then I just heard that

00318

1 you have to have the fresh tissue within the lab
2 within 24 hours, and this may need some
3 clarification. Also, we're dealing with home
4 brews that are being done in certain labs, so the
5 tissue has to go to that lab, there won't be
6 kits. So what will be the accessibility of
7 this? Not just -- so we have the reproducibility
8 issue in doing that, but then in general, how
9 would these be able to be done if there is only a
10 few labs doing these?

11 DR. FRUEHAUF: Well, I think that if
12 there's a favorable decision today, there will be
13 many more labs doing this.

14 DR. HELZLSOUER: Well then, I would
15 like to have more information even yet on
16 reproducibility.

17 DR. FRUEHAUF: Yeah. The
18 reproducibility thing is very important. And
19 we're inspected by the College of American
20 Pathologists to fulfill CLIA regulations, and we
21 have to show precision, we have to show
22 sensitivity and specificity, and we do that by
23 looking at thousands of cases in our database to
24 show that the population patterns remain
25 constant.

00319

1 Most of the laboratories, and we work
2 by getting specimens from all over the country,
3 and we set up a system where Federal Express
4 takes the specimen immediately after surgery and
5 brings it to our laboratory. The other labs use
6 similar courier processes. So it's not that it's
7 hard to get a motivated person in the pathology
8 department to send the specimen.

9 DR. HELZLSOUER: Let me ask you this,
10 about your reproducibility studies. So they're

11 done using your known samples, so you have your
12 known controls; is that what you're saying within
13 your lab?

14 DR. FRUEHAUF: That's correct.

15 DR. HELZLSOUER: Is that what the
16 regulations are? My experience with that, with
17 dealing with laboratories, is that's usually not
18 very reproducible when you're dealing with sent
19 specimens that you do not know. So I wonder if
20 those studies have been done in these assays to
21 determine for samples unknown to you --

22 DR. FRUEHAUF: Yes.

23 DR. HELZLSOUER: Sent specimens.

24 DR. FRUEHAUF: That was done. SWOG did
25 a study in the '80s where they looked at

00320

1 concordance between laboratories, and they sent
2 the same specimens to different laboratories.
3 And they found a concordance level of about 80
4 percent between laboratories for the same result,
5 and I think that is really significant
6 considering the variability of biological
7 specimens.

8 DR. BURKE: (Inaudible).

9 DR. FRUEHAUF: It's from a book, Tumor
10 Cloning Assays, that was published. Somebody
11 might know that better than I do.

12 DR. BURKE: My question was, did you
13 have a citation on that.

14 DR. FRUEHAUF: I can provide that to
15 you after the meeting.

16 DR. BURKE: Because I share your
17 concern about -- I mean even the most common
18 tests have difficulty, most prognostic factor
19 tests have a great deal of problems with
20 reproducibility. CAP has been trying to do
21 standardization for years in this area, on even
22 automated type tests, and it's very, very
23 difficult to do.

24 DR. FRUEHAUF: I can tell you what
25 we've done. We have cell lines that we study.

00321

1 We have 25 different cell lines with

2 characterized drug response patterns. And we
3 send these as unknowns into the laboratory on a
4 periodic basis, to make sure that every day,
5 every week when we're running the assays, we are
6 getting the appropriate result for these cell
7 lines, which are unknown to the people in the lab
8 who are doing the assay. So we have an internal
9 validation process with 15 to 20 cell lines that
10 we run routinely to validate the Cisplatin result
11 is appropriate for the ovarian cell line, for
12 instance; that the adreomyecin result is
13 appropriate for the breast cancer cell line, and
14 this is an internal validation process which, we
15 use the same one for doing markers, for doing
16 HRCC New, and P-53 as phase for actions, where
17 you have to have internal validations you run in
18 your laboratory to confirm that every time you're
19 running the test, you're getting the same
20 expected results.

21 DR. KASS: Could I ask a follow-up
22 question on that? In that particular study that
23 you referenced, one thing that was of interest to
24 me, we have seen lots of different types of
25 laboratory tests, and I was wondering if in that

00322
1 study they addressed the results comparing the
2 different types of assays that we have heard
3 referred to today, have any studies been done to
4 look at the comparability of the DiSC versus the
5 MMT, versus whatever?

6 DR. FRUEHAUF: Yes, and I think that
7 other people do this all the time. Dr.
8 Weisenthal does three separate assays on each
9 specimen that comes into his laboratory. What we
10 did for GOG 118 internally, we ran a DiSC assay,
11 and we ran an EDR assay on the same specimen, and
12 we looked at the cut points of low, intermediate
13 and extreme resistance, and we found that they
14 were exactly concordant with a very small, one to
15 two percent difference. So, the cut points are
16 very important, reproducibility is very
17 important, but all the people who are doing this
18 have been doing this for 15 or 20 years and

19 have -- there was an NCI consensus conference in
20 the '80s that addressed these specific issues of
21 quality control, because this all stems from the
22 NCI funding these laboratories originally to
23 develop this technology. And it was partly done
24 for drug discovery and it was partly done for
25 helping patients get the right therapy. So the

00323

1 consensus conference looked at the issues of
2 coefficient of variation, out wires, how many
3 standards you needed to run with each assay, et
4 cetera. And they set up a profile of quality
5 control requirements internally in the laboratory
6 that would be necessary, and they compared the
7 different laboratories that were doing the
8 testing, so that there would be a uniformity of
9 process. And so we incorporated into our
10 laboratory procedures those quality assurance and
11 quality control measures, along with the internal
12 standards being run all the time. And what we
13 are doing now is using these cell lines to send
14 to the other labs as proficiency tests, because
15 we have to have proficiency tests to maintain the
16 quality assurance.

17 DR. FERGUSON: Thank you. Very briefly,
18 Dr. Kern.

19 DR. KERN: Yeah, very briefly. There
20 was a study published by NCI a few years ago
21 where we compared four laboratories, UCLA lab,
22 Sid Salmon's lab in Arizona, Dan von Hoff in
23 Texas, and Mayo Clinic, Dr. Liebe's lab, they
24 were all sent -- all labs were sent 20 compounds,
25 blinded, coded. Most of them were anticancer

00324

1 drugs; some of them included sugar and salt. And
2 we published on the very close reproducibility of
3 all four laboratories. I can provide you that
4 reference.

5 DR. FERGUSON: Thank you. Dr. Loy?

6 DR. LOY: I just wanted to ask a
7 question that remains in my mind, and that is,
8 when is the optimal time to biopsy? Certainly
9 you would expect tumor biology to change after,

10 or posttreatment, whether it be chemotherapy or
11 radiation, and I'm just wondering if there's any
12 studies to talk about or clarify when the most
13 appropriate time to biopsy is, and if there's any
14 predictive value in testing those tumors that
15 have not previously been treated.

16 DR. ROBINSON: My name is William
17 Robinson. I'm with the U.S. Harvest Medical
18 Technologies Corporation. We didn't send
19 literature to the panel, but we did get in on
20 this at the end, thank the Lord. One thing we
21 wanted to draw reference to was that question
22 about timing, because according to a research
23 paper that came out of NIH in 1981, they felt the
24 most appropriate time was within the first four
25 hours of biopsy, because I think according to

00325

1 Dr. Wing, that the gethaco protein does get
2 inducted very early on, so therefore, you don't
3 get a real response, a clear response to what the
4 tumor looks like in vivo as opposed to what you
5 actually see in the Petri dish. Some of the
6 literature we sent actually does show you, for
7 those who can actually see this, that we were
8 able to pick up a metabolism very early on,
9 within about minutes. So if it's a case where
10 you're going to compare MTT tests and the DiSC
11 tests, I think the idea is you want to get the
12 tumor in the closest condition that it appears
13 naturally, so as far as automation is concerned,
14 and that's where we come in, we think that this
15 is the kind of tool and the kind of forum for
16 discussion as to how you combine therapies, that
17 this makes this a very good and useful meeting.
18 Thank you.

19 DR. LOY: Thank you for that, but my
20 question was more directed towards when in the
21 course of the history of the disease, is it
22 pretreatment or posttreatment?

23 DR. FRUEHAUF: Acquired resistance is
24 an important question, so that if somebody has a
25 biopsy and you get a result and you treat the

00326

1 patient, and then the patient's failed primary
2 therapy and you want to go back to your result.
3 The question is, is that result still valid to
4 treat the patient now, who's had intervening
5 therapy? Is that part of your question?

6 DR. LOY: That is part of my question,
7 but please address that issue.

8 DR. FRUEHAUF: So first, of course, you
9 have two kinds of variability up front in newly
10 presenting patients; you've got sit, inter-site,
11 and for synchronous lesions, and so we studied in
12 paired cases synchronous lesions and metachronous
13 lesions. And we looked at extreme resistance
14 frequencies for the various drugs, between sites
15 and over time, for ovarian cancer. We presented
16 this at AACR. We found that there is a very low
17 frequency of a two-drug category shift, of about
18 5 percent, in terms of synchronous lesions. So
19 if you looked at platinum resistance in an
20 ovarian cancer patient and you compared the
21 primary ovary with the peritoneal metastases,
22 only 5 percent of the time was there a
23 significant difference in the result. It went up
24 to about 8 percent when it was over time, so the
25 difference over time -- now, I think the key is,

00327

1 there's not a lot of heterogeneity and change in
2 resistance patterns, but there can be a decrease
3 in sensitivity, so that if you're using the assay
4 to identify ineffective agents, an agent that's
5 ineffective initially, after intervening therapy,
6 was still inactive later. It was a loss of
7 sensitivity that was occurring. So there is a
8 robust ability to say if the drug wasn't going to
9 work up front, it's unlikely after failure or
10 progression that that drug is now going to work
11 in the relapse setting.

12 DR. LOY: Have you found the same thing
13 or have studies been shown to show the same thing
14 to be characteristic of hematologic malignancies,
15 which are known to transform after chemotherapy?

16 DR. FRUEHAUF: I would leave that to
17 one of my friends who does this research on that.

18 DR. FERGUSON: Mitch, do you have
19 something?

20 DR. BURKEN: Just a quick comment on a
21 study. Just -- I didn't get it in before. The
22 issue came of up of concordance or discordance
23 between different assay formats. And you know,
24 there have been several studies; as a matter of
25 fact, some of them were listed this morning. One

00328

1 of the studies, I'm not sure whether it was
2 listed or not, was by Tavassol in Oncology in
3 1995, where there were 17 patients that had head
4 to head FCA and EDR, and there was some
5 discordance. At least 12 of the 17 patients had,
6 or 12 of the 17 patients had at least two drugs
7 that had different patterns. The problem with
8 those kinds of studies, as I said, you run up
9 against complicating factors like the tumor
10 heterogeneity that we talked about earlier, where
11 the differences may be due to the fact that
12 there's just intrinsic tumor heterogeneity. And
13 so, it does open up I think another vista of ways
14 of looking at test accuracy.

15 DR. FERGUSON: Dr. Bosanquet, did you
16 have some response?

17 DR. BOSANQUET: Can I address a couple
18 of these points? We very early on looked at
19 different biopsy sites for the hematologics, and
20 compared drug sensitivity. So we looked at
21 blood, bone marrow, lymph node, and found almost
22 identical drug sensitivity from those three
23 sites, in CLL and non-Hodgkins lymphoma, similar
24 diseases.

25 We have also -- I also concur in the

00329

1 ovarian data that John Fruehauf has just
2 mentioned. We also in our laboratory find almost
3 identical results between a situs and a primary
4 tumor in the ovarian setting.

5 The point was raised about the timing
6 of the biopsies. In 1988 we published a paper in
7 Cancer, which hasn't been mentioned, in which we
8 looked at drug sensitivity before and after an

9 intervening period of time. If there was no
10 intervening chemotherapy, there was no difference
11 in drug sensitivity from one to the subsequent
12 test. If there was intervening chemotherapy that
13 was not the drug that you were testing -- I'm
14 sorry -- if there was intervening chemotherapy,
15 for instance, with Doxorubicin, and you looked at
16 the difference in chlorambucil sensitivity before
17 and after the Doxorubicin, there was usually a
18 slight increase in resistance, and chlorambucil
19 resistance.

20 If you looked at the drug that had been
21 given in between, so you tested Doxorubicin, then
22 you gave Doxorubicin, then you tested Doxorubicin
23 again, you saw a greater increase in resistance
24 between the two tests. There was one anomaly to
25 this finding, this universal finding, which is

00330

1 becoming a standard chemotherapy in CLL in
2 Britain. And that is that we found that if
3 patients were treated with chlorambucil, and this
4 is just in CLL, if patients were treated with
5 chlorambucil, they became 10-fold more sensitive,
6 or there or cells became 10-fold more sensitive
7 to the steroids. And this is an anomalous
8 finding, which is really quite exciting. And if
9 you look in the original literature on steroids,
10 not much use in untreated CLL. But we found
11 them, high does methylprednisolone for instance,
12 to be very effective in previously treated CLL,
13 supporting this finding from the laboratory. So
14 that's, as far as I'm aware, the only time that
15 increased sensitivity is induced by treatment.

16 DR. FERGUSON: Other questions from the
17 panel members or comments? If not, we will
18 reconvene tomorrow morning at 8:00.

19 (The panel adjourned at 4:33 p.m.,
20 November 15, 1999.)
21
22
23
24
25

Transcript of November 16, 1999 Morning Session

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00331

1
2
3
4
5
6
7
8
9

VOLUME III
(Morning Session - November 16, 1999)

10
11
12
13
14
15
16
17
18
19

HUMAN TUMOR ASSAY SYSTEMS

HEALTH CARE FINANCING ADMINISTRATION
Medicare Coverage Advisory Committee
Laboratory & Diagnostic Services Panel

20
21
22
23
24
25

November 15 and 16, 1999

Sheraton Inner Harbor Hotel
Baltimore, Maryland

00332

1
2
3
4
5
6

Panelists
Chairperson
John H. Ferguson, M.D.

Vice-Chairperson
Robert L. Murray, M.D.
Voting Members
David N. Sundwall, M.D.
George G. Klee, M.D., Ph.D.
Paul D. Mintz, M.D.

7 Richard J. Hausner, M.D.
Mary E. Kass, M.D.
8 Cheryl J. Kraft, M.S.
Neysa R. Simmers, M.B.A.
9 John J.S. Brooks, M.D.
Paul M. Fischer, M.D.

10
Temporary Voting Member
11 Kathy Helzlsouer, M.D.
12 Consumer Representative
Kathryn A. Snow, M.H.A.

13
Industry Representative
14 James (Rod) Barnes, M.B.A.
15 Carrier Medical Director
Bryan Loy, M.D., M.B.A.

16
Director of Coverage, HCFA
17 Grant Bagley, M.D.
18 Executive Secretary
Katherine Tillman, R.N., M.S.

19
20
21
22
23
24
25
00333

1 TABLE OF CONTENTS

	Page
2 Welcome and Conflict of Interest Statement Katherine Tillman, R.N., M.A.	5
3 Opening Remarks & Overview	
4 Grant Bagley, M.D.	10
5 Chairman's Remarks John H. Ferguson, M.D.	28
6 Brian E. Harvey, M.D., Ph.D.	30
7 Open Public Comments & Scheduled Commentaries	
8 Frank J. Kiesner, J.D.	48

	Larry Weisenthal, M.D.	57
9	Randy Stein	92
	Richard H. Nalick, M.D.	99
10	William R. Grace, M.D.	108
	John P. Fruehauf, M.D., Ph.D.	110
11	James Orr, M.D.	127
	Robert M. Hoffman, Ph.D.	131
12	Andrew G. Bosanquet, Ph.D.	136
	David Alberts, M.D.	142
13	Robert Nagourney, M.D.	147
	David Kern, M.D.	159
14	Daniel F. Hayes, M.D.	168
	Bryan Loy, M.D.	178

15	LUNCH	196
----	-------	-----

VOLUME II

Open Public Comments & Scheduled Commentaries

18	Edward Sausville, M.D.	201
	Harry Handelsman, D.O.	227
19	Harry Burke, M.D., Ph.D.	234
	Mitchell I. Burken, M.D.	262

20	Open Committee Discussion	304
----	---------------------------	-----

21	Day One Adjournment	330
----	---------------------	-----

22
23
24
25

00334

TABLE OF CONTENTS (Continued)

VOLUME III

3	Opening Remarks - Introduction	336
4	Open Committee Discussion	337
5	Motions, Discussions and Recommendations	425
6	Adjournment	487

7
8
9

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
00335

PANEL PROCEEDINGS

(The meeting was called to order at
8:05 a.m., Monday, November 15, 1999.)

DR. FERGUSON: Miss Tillman, our right
hand, is here, and has some announcements and
pronouncements.

MS. TILLMAN: Good morning, and welcome
again. First of all, I am going to read the
conflict of interest statement again. Conflict
of interest for the Laboratory and Diagnostic
Services Panel meeting November 15th and 16th,
1999. The following announcement addresses
conflict of interest issues associated with this
meeting, and is made part of the record to
preclude even the appearance of an impropriety.
To determine if any conflict existed, the Agency
reviewed the submitted agenda and all financial
interests reported by the committee participants.
The conflict of interest statute prohibits
special government employees from participating
in matters that could affect their or their
employer's financial interests. The Agency has
determined that all members and consultants may
participate in the matters before the committee
today.

00336

1 With respect to all other participants,
2 we ask that in the interest of fairness, that all
3 persons making statements or presentations
4 disclose any current or previous financial
5 involvement with any firm whose products or
6 services they may wish to comment on.

7 In addition to that, we request that
8 anyone with a cell phone please turn it off, so
9 it doesn't disrupt the discussion this morning.

10 Also, as the speakers either come to
11 the microphone or the panel members begin to
12 speak, if you could identify yourself for the
13 record, since we have a court reporter here, and
14 it would make it easier for him to identify who's
15 speaking.

16 And also, anyone who would like a
17 transcript of the meeting can contact Mr. Paul
18 Gasparotti, with Salomon Reporting Services, and
19 he can make a transcript available for you.

20 Dr. Ferguson?

21 Opening Remarks - Introduction

22 DR. FERGUSON: Thank you. This
23 morning, we'll be primarily discussing among the
24 panel members, and then voting on the questions,
25 or the questions proposed as points to vote on.

00337

1 I would like to remind those in the
2 audience that we would like to keep this
3 restricted to the panel's discussion, except on
4 points of reference where we may need some points
5 clarified from members who presented yesterday,
6 until the 11 to 11:30 session, which we can open
7 up to four or five minute remarks by some who
8 presented their work yesterday.

9 Open Committee Discussion

10 DR. FERGUSON: Now I would like to
11 start this morning and kind of go around among
12 the panel members to get their ideas and their
13 comments and critiques and concerns, and
14 questions on what we've heard, and anything they
15 think that is important that we should know
16 about. Maybe I could start over there on the far
17 right.

18 DR. MINTZ: My concern here is trying
19 to hone in on -- these tests are reasonable, and
20 it's reasonable in a setting of a malignancy to
21 do this. The question I think with which I'm
22 wrestling is when are they necessary. And it's
23 hard to hone in on the data that we have seen on
24 specific situations where we find them
25 necessary. I look forward to further comments

00338

1 this morning on can we identify situations and
2 disease states where we feel collectively that
3 this test is necessary.

4 I am just beginning to read the
5 articles by Dr. Bosanquet here in CLL, but I do
6 find them interesting, and I'm ready to be
7 persuaded, but I would like a little time to look
8 through them. But as we address these questions
9 this morning, I am interested in hearing further
10 from the participants yesterday as to where we
11 can find specific situations where we might deem
12 this a necessary test. And I look forward to my
13 colleagues trying to identify that situation. I
14 at present have not identified such a situation,
15 but I am open to being persuaded.

16 DR. FERGUSON: Very good. Kathy?

17 DR. HELZLSOUER: Yeah. I agree that
18 intuitively these make sense to be used, and I am
19 wrestling with the same things. I think cancer
20 is too large of a disease entity, and it seems
21 that there probably are settings, and maybe CLL
22 is one of them, where these tests are
23 appropriately used. There is the issue of
24 metastatic versus primary settings, or adjuvant
25 setting, or trying to sort out once they've been

00339

1 previously treated.

2 And I'm not convinced, although we
3 heard a lot about quality of life, and I believe
4 that's a very good clinical indication for this,
5 that if you can avoid unnecessary chemotherapy,
6 that's extremely relevant and important, but I'm
7 not convinced yet, given the specificity of the
8 overall test results, that we have 80 percent,

9 plus the 10 to 20 percent problem with
10 acquisition of tissues appropriate processing,
11 how many will be spared. And my readings of some
12 of the graphs yesterday and some of the articles
13 here, that if you still have 20 percent that,
14 that's the specificity in the combined group,
15 would you feel comfortable eliminating that for
16 an individual, because there is still a chance 20
17 percent of the time they would still be sensitive
18 in vivo, they will still respond. And when you
19 get down to a metastatic setting when people will
20 choose something for even a 1 percent benefit,
21 it's hard for me to see that you will be
22 eliminating a lot of chemotherapy. So that's one
23 thing that I would like more clarification on.

24 I think that the problem is that there
25 isn't much information on the clinical outcome,

00340

1 although there's a correlation with survivors,
2 it's the problem we always have with reviewing
3 issues, that responders always do better than
4 non-responders, and it's probably a good marker
5 for responders. But I think we have to see how
6 we can clinically use that in either choosing
7 chemotherapy, and I think the compelling argument
8 is the issue of avoiding unnecessary
9 chemotherapy, but I'm not sure I have the
10 evidence to say that would actually be done in
11 practice.

12 DR. FERGUSON: Thank you. Miss Kraft,
13 do you have some?

14 MS. KRAFT: Cheryl Kraft responding.
15 First of all, what was pointed out yesterday was
16 two different percentages of how many people
17 don't respond to any type of chemotherapy or
18 cancer treatment, one being 70 percent and the
19 other being 76.3 percent. So it's clear that we
20 don't know how to manage cancer patients so that
21 they can survive. So the question to ask is,
22 will the tests that are available to us, these
23 human tissue assay systems, help us in prolonging
24 or help the physician in treating the patient?

25 From what I can tell in the studies

00341

1 that I've read, that the use of these tests and
2 the way the doctors use these tests in treating
3 patients, that there were no negative effects to
4 the patient or consequence to the patients, with
5 the exception of one trial that was outlined in
6 one of the studies. So that being, do these
7 tests then have, test for drug resistance at a
8 sensitivity that is great enough so that the
9 physicians can interpret the benefit to the
10 patient? Well due to the fact that drug
11 resistance is growing and is definitely
12 multifactorial, as one of the articles said, and
13 the heterogeneity in cancer tumors is great, then
14 are we not, and myself, trying to make sense out
15 of all the articles I have read, and in which
16 cancers and which drugs should be treated for
17 which specific cancers, are we not maybe trying
18 to fit a heterogenetic tumor into a box? I think
19 analytical people try to fit everything into a
20 box.

21 And so what I would like to put forth
22 to the panel is that maybe we should step out of
23 the box and we need to look at, since again, all
24 these tumors are heterogenetic, should we look at
25 just continuing to do what has been done, that

00342

1 being continuing to test all types of these
2 cancer tumors against all the drugs available to
3 us and see, and continue to treat patients
4 accordingly? Now none of the patients in none of
5 the articles were denied treatment of drugs that
6 they were considered to be resistant to, so
7 taking that into consideration, maybe the studies
8 should continue to be done.

9 However, during that time, this panel
10 needs to think of should the patients, even
11 though this may not be definitively designed for
12 a specific tumor and a specific drug, should the
13 patients really be denied a test? And this is a
14 laboratory test we are talking about. Should
15 they be denied a laboratory test that could
16 possibly benefit them?

17 I think, again, this laboratory test is
18 a tool for a physician. The physician should
19 take advantage of all the tools available to him
20 to treat a patient. And since studies show that
21 only 25 to 30 percent, again, of patients do
22 respond to the test and/or the drugs and/or the
23 correlation of the drugs and the chemotherapy
24 that we have available to them, should we not
25 consider, due consideration to looking at the

00343

1 advantage of these human tissue assay tests and
2 the resistance that has been found to
3 chemotherapy drugs?

4 DR. FERGUSON: Okay. Thank you.
5 Dr. Hausner?

6 DR. HAUSNER: Dr. Richard Hausner. For
7 me, I would like to take the approach to try and
8 put my comments in the context of my own clinical
9 experience, my own day-to-day, I'm a working
10 pathologist, although I am on the active clinical
11 faculty of Baylor College of Medicine and the
12 University of Texas Health Science Center in
13 Houston. I practice in a community hospital, but
14 I have very long reach in terms of my clinical
15 experience. I have a big practice. And I can
16 tell you that in Houston, Texas, where there is
17 quite a bit of health care going on on a daily
18 basis, not once ever in my life, with all of the
19 cancer patients that I've seen, have I once been
20 asked to harvest tissue for this procedure. Not
21 ever. And I can tell you that if any of the
22 patients in my practice had had this testing,
23 that we would have been involved in the
24 harvesting by definition, because the surgeons
25 would have surely asked. So I know that it

00344

1 hasn't happened.

2 Nevertheless -- and I came in here
3 reading the source material with that bias,
4 because I had that bias from the very beginning.
5 But nevertheless, somewhere around the middle of
6 yesterday afternoon, my thoughts began to
7 crystallize, and they crystallized during the

8 time that, in the afternoon session when the data
9 was put up to a tremendous amount of scrutiny and
10 a very sophisticated critique, and I thought that
11 it held up pretty darned well. And I have come
12 to the conclusion that while over the past 20
13 years of the research that has developed for this
14 technique, it clearly was a research tool and not
15 ready for prime time, that the decision was
16 correct not to allow this into Medicare's realm
17 and therefore, give it the validity to go
18 forward.

19 Because what is someone's exciting
20 front line technique comes very close to someone
21 else's quackery, and at some point it would have
22 been premature to allow this. But I believe now
23 that the third generation technologies clearly
24 take this beyond a research tool and that from
25 this point forward, I would hope that the

00345

1 clinical studies will be conducted to refine
2 where this could be best used.

3 Another analogy would be that a, that
4 if this technique is not permitted in its current
5 state, then the panel ought to reconvene and
6 consider removing microbiologic sensitivity
7 testing from the armamentarium of physicians, if
8 this is not approved. The truth, I believe, lies
9 somewhere in the middle, therefore, and just like
10 so many other things we do in medicine, that this
11 is a useful tool, imperfect as it is, and the
12 ground rules may have to be carefully defined,
13 but to turn the test away in its entirety, I
14 believe would be inappropriate.

15 And in closing, I would point to the
16 final paragraph of Dr. Weisenthal's paper in
17 which he talked about whether we use the civil or
18 criminal criteria of preponderance of evidence
19 versus beyond a reasonable doubt. Beyond a
20 reasonable doubt, we don't have. Preponderance
21 of evidence, I believe we do. And therefore, my
22 conclusion is, as a rough sketch, is that
23 something ought to be done towards bringing this
24 test into, as another tool for physicians to

25 use.

00346

1 DR. FERGUSON: Thank you. Dr. Kass.

2 DR. KASS: Thank you. Mary Kass.

3 First of all, I think my first question
4 was about the testing methodology, but I think
5 that there is overwhelming evidence to show that
6 these tests meet all the normal QC, all of the
7 normal standards that all other laboratory tests
8 have to meet. I think that they're valid, I
9 think that they are reproducible, so the third
10 generation of tests for me is no longer a concern
11 in that respect.

12 The question has been raised about
13 necessary versus clinical utility. I don't know
14 how to define a necessary laboratory test; I
15 think that's really in the mind of the user.
16 When I was in training, which wasn't all that
17 long ago, the emergency room of a downtown urban
18 hospital in Washington, D.C. didn't even have a
19 laboratory open from midnight until eight a.m.
20 because there were no laboratory tests that were
21 necessary to make clinical diagnoses. But we've
22 come a long way since then, and I think medicine
23 has grown and realized that there are many things
24 that can help physicians do a better job in
25 taking care of their patients. So the clinical

00347

1 utility of this test, I think has been

2 demonstrated, to certainly my satisfaction.

3 The fact that the test is difficult to
4 do because you have to acquire fresh tissue, it
5 has to be shipped in a certain way quickly to a
6 laboratory, that doesn't bother me either. That
7 doesn't change its utility. I remember when we
8 first started doing flow cytometry, the transport
9 of specimens to do flow cytometry on was a big
10 challenge to us. Now we do it routinely and we
11 don't lose specimens in the transport process.

12 It is very intriguing to me that this
13 particular methodology may be very helpful in
14 evaluating new drugs, the number of new
15 chemotherapeutic agents that are rapidly being

16 introduced to try to help us have a greater
17 impact to the treatment of cancer. I think that
18 anything that we could use to help define which
19 modalities have a greater possibility of working
20 and which don't, would be very helpful. I think
21 it also allows the earlier consideration of other
22 treatment modalities for patients, rather than
23 going through a whole course of chemotherapy and
24 waiting for the end point of no response.
25 Earlier in the course of that, a clinician may

00348

1 have an opportunity to switch a chemotherapeutic
2 drug, or remove one which has a very toxic side
3 effect from the treatment regimen.

4 I guess in summation, I think that we
5 haven't done a terrific job in treating most of
6 the solid tumors. I think everyone is very
7 disappointed in the fact that we haven't been
8 able to have greater success than we have. I
9 think that this is another tool, one of many,
10 that could be available to clinicians that might
11 help, certainly in terms of the quality of life,
12 if we could remove drugs from the treatment
13 regimen that were not effective, and perhaps in a
14 better outcome.

15 I think the patient that testified
16 yesterday, that's one case, it's anecdotal.
17 However, I've practiced pathology for 32 years; I
18 have never seen a patient with widely
19 disseminated pancreatic carcinoma that survived.
20 You have to take notice of that. I think that's
21 worth listening to.

22 So, I think that's the summation of my
23 comments.

24 DR. FERGUSON: Thank you. Miss Snow?

25 MS. SNOW: I'm Kate Snow. I'm the

00349

1 consumer voice for this panel. I listened very
2 intently to all of yesterday's testimony and I
3 agree that Mr. Stein was very compelling, and I
4 too have never seen a pancreatic cancer
5 survivor. However, I did not know how old this
6 gentleman was, or if he had other comorbidities.

7 I believe that if I were a cancer victim, I would
8 want this study available for my use. I would
9 feel it was reasonable and I would also very much
10 feel it was necessary.

11 Listening to the quality of life and
12 the cost of life that could be gained, and to
13 decrease the burdens for individuals was also
14 very compelling. If it takes the guess out of
15 the therapy that's used, I think it's a very good
16 tool to have available to us.

17 I struggle with whether or not this
18 test will be available in a way where those of us
19 in northern rural Michigan will have access to
20 this kind of tool or not, and what that might
21 look like in the future.

22 I do feel there is a possibility for a
23 cost effectiveness. It may need some more
24 research and looking into exactly how cost
25 effective this could be, both for the medical

00350

1 community as well as the beneficiary.

2 And I think that's all I have to say
3 for now.

4 DR. FERGUSON: Thank you very much.
5 Dr. Loy?

6 DR. LOY: I'm Dr. Bryan Loy, and I
7 listened also very intently yesterday to the
8 presentations being made. I have a couple of
9 comments, first of all regarding the
10 presentations. I noticed a number of cancers
11 were being elaborated on. I am still not clear
12 at what point in the clinical progression of the
13 disease, or how often the testing should take
14 place.

15 However, having said that, this does
16 sound like this is a tool that be could be very
17 useful. But having listened to the presentations
18 yesterday, again, we were focusing on specific
19 cancers, and to try to take that tool and apply
20 it to all cancers at this point in all clinical
21 scenarios, doesn't seem to be quite reasonable at
22 this point. We really didn't talk a lot about
23 the sarcomas, or trying to talk about such broad

24 fields as hematopoietic neoplasms. I think at
25 least in my mind, I would need some more

00351

1 convincing evidence to try to apply this
2 technology wide spread, and I think that this is
3 certainly germane to a policy type discussion.

4 The other piece that's still lacking in
5 my mind is where this really fits clinically.
6 Because some cancers are clearly curable with
7 chemotherapy, or they're curable with radiation
8 therapy in combination with chemotherapy, or
9 they're curable with surgical resection, or any
10 of those combinations. And trying to really fit
11 this into that niche is going to be quite
12 difficult to do from a policy perspective.

13 Having said that, I think that there
14 certainly is some promise. I think there is some
15 utility that has been potentially demonstrated
16 here, but I am not clear on where this fits yet.

17 DR. FERGUSON: Thank you. Dr. Murray?

18 DR. MURRAY: Thank you. I am Robert
19 Murray, and I've kind of grouped my comments into
20 four areas. The first point is that I believe
21 what we are supposed to be doing is looking at
22 the questions that were presented, the six
23 specific questions that we would like to come to
24 grips with and arrive at answers to. I sense
25 that we have been taking the view from 35,000

00352

1 feet and not from the detail level that we need
2 to or that we were asked to. I am concerned
3 about that, and I really think that we have
4 looked mostly at number 5, which asks, is there
5 evidence to support clinical utility? I sense
6 that from the speakers who have voiced their
7 opinions and also reflecting my own that the
8 answer is yes, there is evidence for utility in
9 certain cases.

10 The second point is, we're stumbling
11 over reasonable and necessary versus clinical
12 utility. Reasonable and necessary is in the
13 statute, and Dr. Bagley gave us a couple examples
14 of how you can assess reasonable and necessary.

15 I view it as a term of art. I don't think we
16 look first at reasonable in isolation, and then
17 we look at necessary in isolation. We'll
18 certainly get thoroughly enmeshed in what kind of
19 necessity. As was already mentioned, no
20 laboratory test may be necessary, mathematically
21 necessary. You can certainly find alternatives.
22 But nonetheless, utility is perhaps an equivalent
23 term that we have in fact focused on. But again,
24 we are looking at a very high level.

25 And Dr. Loy's comments yesterday, and

00353

1 his comments just a moment ago, I think remind us
2 that we need to come to conclusions that are
3 going to allow a very high level of specificity.
4 We can't just say, I don't think we should say,
5 at the end of this session, yes, there are some
6 situations when some testing might be
7 appropriate. That is simply not the level of
8 guidance that we need. Some of us went through
9 negotiated rule making over the past year and we
10 realize how difficult it is to draft a national
11 coverage decision with the uniformity and
12 specificity. So I am concerned about the fact
13 that we are, we seem to agree that there are some
14 situations in which there is utility, but we're
15 far from reaching the level of specificity that
16 we ultimately will need.

17 The third point is just my own
18 reaction. Spending my life generally in the
19 laboratory, I tend to analogize all of the
20 situations, the questions, to existing laboratory
21 tests. There is no question that many laboratory
22 tests which are routinely approved currently have
23 nowhere near the evidence, nowhere near the
24 accuracy and predictive value that the tests that
25 we're considering today, that we heard about

00354

1 yesterday, have already demonstrated. Yes, we do
2 have to look at outcomes. We have to look at
3 outcomes measured in different ways. We have to
4 look at evidence. But the evidence, even if the
5 bar is raised higher, the evidence that we have

6 heard certainly exceeds the evidence that we have
7 for many, many tests currently in use.

8 My fourth and last point is actually
9 two very minor specific objective questions, and
10 perhaps Dr. Bagley can respond to one or both of
11 them. In Dr. Weisenthal's paper that he included
12 in the packet, there is a reference to a Medicare
13 hearing in April of 1998 which seemed to indicate
14 that it was a decision of what I would assume was
15 an administrative law judge, ruled that these
16 tests would be covered. And my question is,
17 which I'm not asking for an answer now, but
18 sometime before noon, does that decision affect
19 our decision here? If a judge has already ruled
20 that they are coverable, then what are we
21 debating?

22 And the last and very minor point, a
23 question that perhaps one or perhaps several of
24 yesterday's speakers could answer, are any of the
25 tests that have been suggested, the tests

00355

1 performed that are being currently offered on the
2 market, are any of them covered by patent
3 protection? Are we doing anything, are we making
4 a decision on issues that would force or
5 encourage or would support limitation in the
6 availability of the test? And again, I am not
7 asking for an answer now, but if sometimes
8 perhaps during the open discussion, I am curious
9 what level of patent protection there is
10 currently, could these tests be offered by any
11 laboratory if they were approved? That's all of
12 my comments.

13 DR. FERGUSON: Thank you.

14 I had a number of things, mostly in the
15 form of questions myself. But I guess some can
16 be considered comments. First, there are several
17 different tests done by several different groups
18 that we were exposed to. Not all seemed to be
19 equal or equivalent to each other, they were used
20 in many different kinds of cancers. This leaves
21 a large number of combinations and permutations
22 for us to grapple with. And it's hard to put

23 them, as a matter of fact, I would say it's
24 impossible to put them all in one basket and say,
25 you know, treat them all together. At least I

00356

1 would find it difficult, given the amounts of
2 data and studies that we saw, all for different
3 tests and so on, so this in my view makes it a
4 difficult job.

5 A second, that many of the studies that
6 we saw were on the small side, small numbers of
7 patients.

8 Number three, it wasn't always clear to
9 me how the patients were chosen for these studies
10 or from what populations they were chosen. In
11 other words, what the denominator was, how did
12 these patients get into the study. Sometimes it
13 was. I'm giving sort of an overall, at least
14 what my concerns were. It's clear that these
15 patients had to be self selected in a way that
16 there was an accessible tumor to be biopsied or
17 surgically removed, that some patients who
18 perhaps had recurrences weren't available, once
19 they had tumors that recurred, because they were
20 deep or in bone, or inaccessible in some other
21 way, or weren't willing to put up with biopsies
22 and so on. So that there were patients that
23 might possibly benefit but couldn't because they
24 didn't have tumor available. Whereas the tumors,
25 the easily accessible, perhaps is in leukemia

00357

1 patients and lymphomas, where tissue is
2 reasonably easily available, and maybe that's a
3 different group. I mean obviously, maybe they're
4 self selected in that way to be better and more
5 responsive. But any way, it is a bit of an
6 issue, I think. It's hard to treat them all
7 equal when you need tissue in order to do this
8 test.

9 The fifth point, it seemed like to me
10 on most of the studies we were dealing with
11 advanced tumors, mostly recurrent after stage 2.
12 I wondered how many actually stage one and stage
13 two type patients had been studied.

14 Number six, that in -- it seems to be a
15 number of papers alluded to the fact, or studied
16 the fact that even cancer cells from the same
17 patient were different, in other words, that the
18 primary site tested different than the metastatic
19 site. Which brought up the notion, and this was
20 again stated and makes it somewhat difficult,
21 that patients had been treated, their cancers now
22 become more resistant and test differently with
23 these tests. So this is just another factor
24 which makes the testing, you know, when you test,
25 after treatment, before treatment, and whether

00358

1 you test a metastatic site, the primary site, do
2 you still have that and so on. This all adds
3 other things and as Dr. Loy mentioned, when is
4 this test most useful? And so this just raises
5 to me another set of questions.

6 Then I think what was mentioned by
7 Dr. Barnes yesterday, in a number of areas there
8 are several histologic types of cancers, so we
9 weren't always given that kind of information,
10 and whether they all test the same or might test
11 the same. Ovarian cancer is a multidimensional
12 animal, as I understand it.

13 So, those were my concerns. Having
14 said that, I also felt that in some of the
15 studies that were presented, I was impressed with
16 some of the leukemic studies and some others that
17 there is some usefulness and that it needs to be
18 mined, but mined carefully and under the right
19 conditions.

20 Just another comment about randomized
21 trials. Where I sat at the NIH as chair of the
22 technology assessment committee for the American
23 Academy of Neurology for a number of years, the
24 number of randomized trials with outcome
25 measurements for diagnostic tests, I don't

00359

1 believe I could have counted on one hand. I
2 would have to look very hard to find those
3 tests. I remember seeing reference to one or
4 two, but -- and there may be more, but I think

5 there is no question that for diagnostic tests,
6 randomized trials with good clinical outcomes are
7 extremely rare and I believe that, however, they
8 should be done. We need better standards.

9 Dr. Bagley?

10 DR. BAGLEY: I would like to bring up a
11 couple of other, or reiterate a couple of other
12 notions which I just want to sort of bring to the
13 forefront for us to keep in mind as we consider
14 these.

15 Dr. Loy brought up a very important
16 point, I think, yesterday. And it's one that's
17 easy to lose sight of when, as Dr. Murray said,
18 looking from 35,000 feet. And that is that any
19 recommendations that you make, that are then
20 placed or implemented in the policy, need to be
21 done with some specificity. We normally don't
22 write policies that simply say, pay for test
23 whenever a patient's physician thinks it's
24 necessary. Now that might be a reasonable
25 policy, but Medicare isn't designed to work that

00360

1 way. And in fact, we've learned from long
2 experience that if we do things that way, that
3 while it works 99 percent of the time, the 1
4 percent of the time that it doesn't work, it is a
5 disaster, because there are, there is fraud and
6 abuse in Medicare. It is a very very small
7 proportion of what goes on, but it accounts for a
8 large portion of the dollars, and they're the
9 dollars that belong to the beneficiaries of the
10 program, and they need to be protected.

11 And perhaps that's the reason that
12 Congress gave us the admonition that we shouldn't
13 just pay for medical service, we shouldn't pay
14 for medical service that a patient or a physician
15 thought was reasonable and necessary, but
16 actually the prescription that's written in the
17 law is written in the negative. It says, Health
18 Care Financing Administration will make no
19 payment for a service unless it is reasonable and
20 necessary. That means there has to be some
21 policy determination and there has to be some

22 review, some process by which we determine the
23 things that are reasonable and necessary.

24 Now with diagnostic tests, it's perhaps
25 a little more difficult than it is for therapies.

00361

1 When we're talking about treatments, we're
2 talking about options that a patient can take,
3 and in fact, they can select from one of the
4 options, and as long as there is evidence that
5 they are reasonable choices, it then becomes a
6 little easier to come to the notion that it's
7 reasonable and necessary. But diagnostic tests
8 become a little bit more difficult, because
9 diagnostic tests, after all, give us information.

10 Patients want information, physicians want
11 information, and we're all taught that the more
12 information we have, the better off we are, more
13 information gives us better results. But that's
14 not always the case.

15 First of all, that, when Medicare views
16 a service or a test or a drug, or anything else,
17 as a covered service, therefore, it will be paid
18 for by Medicare, it's easy to lose sight of the
19 fact that that doesn't -- it's paid for by
20 Medicare. It means it's paid for by the
21 beneficiaries in the Medicare program. Medicare
22 is after all a program which is funded by the
23 beneficiaries, and the future beneficiaries,
24 which is all of us. And in fact, the payment
25 comes from that source, and in fact it doesn't

00362

1 come entirely from that source. Some of it comes
2 directly from the pockets of the patients who are
3 receiving the service.

4 And what we're talking about here is a
5 combination service. Some of what we're talking
6 about may ultimately come under the heading of
7 laboratory testing, but a great deal of what
8 we're talking about is not laboratory testing,
9 but it's physician service. It's interpretation,
10 physician interpretation, and it really comes
11 under the heading of consultation, it's a
12 physician consultation. And when it is paid for

13 by Medicare, so called, it means that 80 percent
14 of it comes from the premiums which are paid by
15 the Medicare beneficiaries, premiums that are
16 paid for the part B Medicare service, which is
17 optional, although most beneficiaries do opt for
18 that. But they pay a premium every month and
19 that premium pays the service. That premium is
20 determined in some part by the amount of payment
21 in the program. It's a health insurance premium.

22 And the remaining 20 percent comes from the
23 beneficiary. So these tests are not free.

24 Now, it doesn't matter if they're free
25 or not. If someone has a fatal disease and they

00363

1 offer hope and they offer an improved way to
2 treat it, then we don't really put a price on
3 that, nor do the patients. But we need to
4 remember that there was that last notion that I
5 put up yesterday when we talked about what was
6 reasonable and necessary. And that was, once
7 something's safe and effective and once something
8 has demonstrated utility, and the risks outweigh
9 the benefits, then perhaps we should also look at
10 the issue of whether or not it adds value.

11 Now value is not a new concept, it's
12 not a new concept in considering medical
13 treatment. We've always done that. We've always
14 done that with diagnostic tests. As long ago as
15 when I went to medical school, the notion was
16 given to us very early that tests are not
17 something to be used indiscriminately. When you
18 order a test you should consider, is the
19 information needed, is it going to make a
20 difference and therefore, am I properly using
21 this resource? So the notion of does it add
22 value to the patient's treatment is very
23 important, and I think that needs to be kept in
24 mind.

25 And then the next point, which follows

00364

1 on what Dr. Mintz said, is it reasonable, is it
2 necessary? I think it is an important concept,
3 because for it to be reasonable and necessary

4 both, it needs to offer not only information, not
5 only information which may be correct, but
6 information which is likely to influence the
7 course of treatment. Is it information the
8 patient and the physician need? If it's going to
9 guide therapy, then it should give us a decision
10 in which we should do this. Now there is an
11 interesting, there's an interesting interplay
12 between what's reasonable and necessary.

13 We have recently been looking at the
14 same thing in terms of what's reasonable and
15 necessary in terms of a test with regard to using
16 PET scans for many diagnostic uses. And in many
17 ways it's the same kind of a process we're going
18 through here; we're saying when is it reasonable
19 and necessary, and for what conditions, because
20 it's used for many many things, and the evidence
21 is stronger for some uses than others. And as we
22 approach that we say, well, if it's reasonable
23 and necessary, then it's diagnostic information
24 which is useful. Now we had just such a
25 situation when we considered the use of a PET

00365

1 scan for evaluation of a single pulmonary
2 nodule. The argument was that a single pulmonary
3 nodule evaluated with a PET scan in which the
4 nodule turns out to be not metabolically active
5 or occult would eliminate the need for a biopsy
6 and in fact, we can do a PET scan, if we get a
7 negative result, we don't needed to a biopsy.
8 But that's certainly a powerful argument, and for
9 a patient making a decision about whether or not
10 to have an invasive biopsy, it certainly is a
11 reasonable option.

12 But we then looked at it and said, well
13 then, if we use PET scans to eliminate the need
14 for an open biopsy, how would we view an open
15 biopsy that was performed after a negative PET
16 scan for a single pulmonary nodule? Then we
17 would be left with the dilemma of saying if the
18 PET scan was reasonable and necessary because it
19 could prevent an open biopsy, then was the biopsy
20 after the negative PET scan reasonable and

21 necessary? It's hard to say they were both. And
22 we are faced with the same dilemma here. We have
23 a test, which we are told is useful to patients,
24 because it will allow us to more accurately
25 select their chemotherapeutic agents. We can

00366

1 avoid toxic agents which won't be effective, or
2 we can select new expensive agents which are only
3 used when they are effective. Now that's a
4 persuasive argument.

5 But then we had the organization which
6 represents most of the oncologists in the country
7 stand up and say they are neutral on this
8 procedure, but the one thing they're sure of is
9 if we allow this procedure, we shouldn't pay
10 attention to the results. That's what they
11 said. It should not be used to withhold
12 therapy. Which means, if a drug is shown to be
13 resistant we shouldn't withhold the drug, based
14 on the test, or if a drug is shown to be not
15 sensitive and it's an expensive drug, we should
16 use it anyway. That seems to me to be hard to
17 understand. That you can take a neutral position
18 about a test and say it looks okay, we think it's
19 reasonable to do it, as long as we aren't asked
20 to pay attention to the results.

21 And that gets back to the final point I
22 made yesterday, is that in terms of looking at
23 the evidence and one of the things is to look at
24 the evidence and say, where does it take us
25 clinically? And not just say, it's good enough

00367

1 to pay for but not good enough to pay attention
2 to. We should say, it's not only good enough to
3 pay for, but the evidence is so strong in a given
4 area and perhaps it's a given tumor, perhaps it's
5 a given kind of patient, perhaps it's for given
6 drugs, but if the evidence is strong enough that
7 we should pay, we should not only pay, we should
8 promote and at some point we should insist,
9 because after all, if it was reasonable and
10 necessary to do the test, if we then ignored the
11 test in future therapy, would in fact that

12 therapy be reasonable and necessary?

13 So, I am simply putting those problems
14 that we deal with in writing policy into context
15 for you, because I think you need to keep those
16 in mind as we answer these questions. Because as
17 Dr. Loy said yesterday, we need to have
18 specificity because reasonable and necessary as a
19 test means it might be reasonable and necessary
20 to pay attention to the results when that
21 happens.

22 And then just finally Dr. Murray's
23 question about what happened in terms of the fact
24 that an administrative law judge overturned a
25 claim denial. We are talking about policy here,

00368

1 policy which says, this is how it's going to
2 apply to the entire Medicare population. And
3 when we write policy, it applies to everyone.
4 When we write a policy that says we will pay for
5 PET scans for single pulmonary nodules, it means
6 we pay for single pulmonary nodules for
7 everyone. And we don't pay for another use that
8 we haven't dealt with. Now that means that we've
9 written a national policy and it applies to
10 everyone, every carrier, every beneficiary, and
11 every administrative law judge in the appeals
12 process. It is binding on everyone. That's why
13 we make national policy on bright line issues,
14 when we know which side of the line the coverage
15 policy ought to be.

16 The opposite is true when we don't have
17 a bright line. We leave it to the carriers to
18 make policy based on input from the carrier
19 advisory committee and also to review claims on a
20 claim by claim basis if necessary. And when
21 carriers review claims on a claim by claim basis
22 and make a denial of a specific claim for a
23 specific individual, that individual by right can
24 appeal that claim, and that appeal process if
25 carried to its conclusion has a hearing before an

00369

1 administrative law judge. That administrative
2 law judge hears the facts and can overturn the

3 carrier's decision to deny that claim, but can
4 only overturn that decision if there is no
5 national coverage decision in place which can
6 influence that.

7 So what we're talking about here is a
8 binding national process, not an administrative
9 law judge. When the administrative law judge,
10 and administrative law judges are with the Social
11 Security Administration, they are not medically
12 trained, and an administrative law judge hears an
13 appeal, overturns it, it applies to that
14 beneficiary and that claim only. It is not
15 precedent, it does not apply to other
16 beneficiaries, other claims, other carriers, or
17 Medicare as a whole.

18 DR. FERGUSON: So, you remind me, your
19 slide yesterday said that these procedures are
20 not, there is a national coverage policy; isn't
21 that correct? So the administrative law judge
22 couldn't have overturned the noncoverage.

23 DR. BAGLEY: The administrative law
24 judges are bound by national coverage decisions.
25 In areas where we have a national noncoverage

00370

1 decision and an appeal for an individual claim
2 goes to administrative law judge, the
3 administrative law judge is bound by the national
4 noncoverage decision. There have been cases
5 where administrative law judges have overturned
6 claims denials which were ultimately in conflict
7 with national coverage decisions, and your
8 question is, how can that happen? Well, it
9 happens, and the solution to that is that there
10 needs to be an overturning of the administrative
11 law judge's position, the denial of the claim.
12 That at times doesn't happen and the claim is
13 paid, and if it's not appealed by the government,
14 the claim is paid, so that process can lead to
15 claims payment. But in general, the policy we're
16 writing, the fact remains that the policy that
17 we're writing is binding, it is national, and if
18 all of the appeals don't go both ways, that can
19 happen.

20 DR. FERGUSON: Thank you. Mr. Barnes?
21 MR. BARNES: Well, as you know, I'm the
22 industry rep on the panel and as such, I have
23 tried to be a liaison with the proponents of
24 reimbursement, which I understood was my job.
25 And to some extent I sort of feel like I'm

00371

1 sitting at the wrong table at this particular
2 moment, and you'll understand. But let me offer
3 just a couple very quick thoughts.

4 The process, a couple comments on
5 process, I guess. One is that the industry
6 representatives here yesterday heard some
7 criticisms of studies, and in fact a lot of
8 attention yesterday afternoon was paid to quality
9 of studies and science, and it, while I'm not an
10 advocate for the industry, it does make sense to
11 see if they might have any particular thoughts to
12 share with this panel today, and I would
13 encourage the chair to allow that opportunity.

14 There was a lot of interaction with
15 regard to Dr. Burke's presentation, but I think
16 Dr. Burken's review of a great number of studies,
17 and in particular the statement that a couple of
18 things were reversed on some of his slides which
19 panel members had been looking at overnight might
20 prompt the industry to want to clarify a couple
21 of points. So I guess I would encourage that to
22 be allowed.

23 A couple of panelists so far have
24 encouraged the panel to pay attention to much
25 greater detail, to really define what cancers,

00372

1 what drugs, what tests, and I think that might
2 have been a general sense of the panel
3 yesterday. And so, my second comment on process
4 is that the way that we have conducted this
5 session over the last one plus days really isn't
6 very conducive to all of the details that you
7 three gentlemen have said you'd like to hear
8 about. And I don't have a solution for that, but
9 I think basically, I just don't see how that
10 could have worked very well, given all the

11 different variables that you'd like to hear more
12 details on, so it makes it kind of difficult.

13 The comments yesterday on the quality
14 of the research did not, I agree with several
15 panelists, did not seem to outweigh the general
16 notion that there clearly is benefit to patients
17 from this test, and that from time to time I
18 guess kind of made me a little bit frustrated. I
19 was sitting here thinking that there are a number
20 of small companies or even very small labs who
21 can't quite present the randomized clinical trial
22 that many of us would like to see, the conclusive
23 once and all for cause and effect, RCT. But they
24 do seem to present a good mass of evidence
25 suggesting patient benefit, as has been

00373

1 mentioned, and I certainly, if I personally or
2 someone in my family was involved, I would like
3 them to have access to this test.

4 Speaking about access to the test, I
5 think it was Mr. Kiesner in his early comments
6 yesterday who pointed to the numbers of hospitals
7 that send specimens in the volume of testing that
8 they do. I have spoken a little bit to these
9 folks, and it would appear that a number of
10 people in U.S. managed care organizations have
11 gone through an assessment of this testing
12 technology and have indeed decided that it is
13 something that is of value and something that
14 they are willing to submit information, rather
15 tissue for. So I guess I would be interested in
16 hearing more about who some of those plans are
17 and what kinds of evaluations have happened
18 previously.

19 The negotiated rule making came up, and
20 I understand there in fact was a consensus
21 document that was put together, and I'm sorry, I
22 am not familiar with what happened during
23 negotiated rule making, but my understanding is
24 that there was a movement in the direction of
25 recommending reimbursement for this testing, and

00374

1 also that the ASCO signed on to, I'm not sure

2 what the term would be, but did indeed agree,
3 unlike yesterday's discussion where we heard they
4 were neutral, and I think I would like to hear
5 some more about that. I think there were a
6 couple panelists involved, and it would be
7 interesting to know some more about that.
8 Evidently, the gentleman from ASCO was not aware
9 of that.

10 In my real life, a health economist, I
11 appreciate Dr. Bagley's information and
12 perspective on valued added. That was the last
13 step in the stair case of defining reasonable and
14 necessary. I would be interested in hearing some
15 more from Dr. Bosanquet, if that's possible. I
16 understood he had quality adjusted life year
17 information suggesting utility, and I believe a
18 study that has been published that hasn't really
19 been made available to the panel, it's in the
20 Technology Assessment Journal, which most of the
21 panelists probably don't see. But I think that
22 kind of information has in fact been
23 accumulated. So perhaps he will have a chance to
24 share that with us later. Thank you.

25 DR. BROOKS: My comments are a little

00375

1 bit along the lines of a number of the others,
2 and I just want to start out by saying --

3 DR. FERGUSON: Do you want to say your
4 name for the record?

5 DR. BROOKS: Yeah. John Brooks. As a
6 pathologist, I certainly came to this without
7 much knowledge or interest, in a sense of one who
8 would give chemotherapeutic drugs, and kind of
9 evaluated it in the same way as I would evaluate
10 any new upcoming test that we have actually to
11 evaluate, almost every week I would say, in the
12 clinical laboratories. As a pathologist,
13 generally, my thought would be that we like to
14 see more tests done and certainly, useful tests
15 are very helpful to people. So you know, in
16 evaluating the information that I got beforehand
17 and that we heard here, I was certainly impressed
18 with how much had been done. I was actually a

19 little bit surprised at how much had not been
20 done, however. I mean, in some settings, it
21 certainly seems to me like the data is there for
22 utility. I am not doubting that the test, I mean
23 it's been mentioned before by a number of people
24 that, you know, I actually believe that the test
25 does test resistance and so forth, and that we

00376

1 may have to decide which of the tests might be
2 recommended, or maybe two tests, A and B,
3 whatever, but histology specific type of data
4 wasn't necessarily there, except in certain
5 situations.

6 You know, the hematopoietic
7 malignancies certainly seemed to have really
8 pretty good hard data. For example, one question
9 that kept occurring to me is how often in an
10 individual tumor type, not a site, not just
11 ovary, but a specific type in that ovary, because
12 that's what the clinician diagnosis is. And that
13 kind of information, for example, is available in
14 CLL. And the articles provided to us this
15 morning tell me that you know, for example, 12
16 percent of patients with CLL, which I view as a
17 pretty high number for a very uniform type of
18 disease, you know, where the markers, et cetera,
19 are quite similar case to case, showed a
20 difference. Whereas, if I had had such data in
21 small cell, et cetera, I certainly would be much
22 more persuaded that the test is useful to
23 people.

24 In other words, if we're trying to
25 define a policy, and suppose we had a tumor whose

00377

1 data showed it never, it always had the same
2 resistance pattern, then you wouldn't want to
3 necessarily, although I may be foolish, to order
4 over and over again to get the same result.

5 All that said though, you know, I do
6 view that there is data there that shows some
7 clinical utility. The question in my mind then
8 becomes, how would you write a policy,
9 et cetera. What I would like to see, just

10 apropos, forget chemotherapy is something that
11 says, you know, people who have diabetes ought to
12 get a glucose test, and we'll pay for that every
13 time. Okay.

14 So then I come to the issue of denial,
15 because as it was brought up before, we had ASCO
16 there saying that, you know, sure, go ahead and
17 do the test, be we might not pay any attention to
18 it, and we sure want to give the drug that's
19 resistant. I mean, that's kind of what I heard.
20 I was thinking about that overnight and I was
21 wondering, well, okay, can I think of something
22 to explain that position? And even though I'm a
23 pathologist, I actually talk to people a lot.
24 We're actually not in the closet, and we're out
25 in the public, and I love patients, and in fact,

00378

1 patients call me all the time. Okay.

2 So let's take diabetes. So suppose we
3 have a diabetic test, suppose we don't have a
4 glucose test, and the new proposal is, I've got a
5 better glucose test to tell if somebody has
6 diabetes. And if they have a sensitivity
7 specificity of 80ish percent, or maybe even 90
8 percent. So okay, now I have a patient and I am
9 a clinician treating this patient. The patient
10 has a negative new glucose test, and it's
11 negative. Should I be denied giving insulin to
12 this patient? It sounds pretty reasonable. But
13 maybe, you know, the test is imperfect, and maybe
14 by looking in the eyes and by looking at the
15 weight and by looking at this and that, I could
16 figure out a way as a clinician in an imperfect
17 world, and certainly not with our glucose tests
18 that we have now, that you could in fact see
19 people should have insulin because of a, not gut
20 feeling, but as a group of symptoms and signs
21 that I see in the patient, that I should be able
22 to give insulin to that patient.

23 So with that said, I'm a little bit
24 torn in fact, as to the issue of if a test is
25 really good and it shows a negative result,

00379

1 should you deny the drug being given. And I
2 guess that's kind of where I am. And in a sense,
3 you could look at things that happened in other
4 arenas. I'm aware that when they were doing the
5 surgical treatment, you know, surgery versus
6 medical treatment for coronary artery disease,
7 and the surgeons said that, you know, the
8 cabbage, or bypass was better, it took us ten
9 years to figure out what that was all about,
10 mainly because there was no clinical trial.

11 So another question that occurs to me,
12 and I don't have the answer, and I want to hear
13 what happens the rest of this morning, is if this
14 is approved, do we want just America to use it
15 willy-nilly? That is, this person uses it over
16 here to treat this person, et cetera, and nobody
17 gathers any data. And I don't mean gathering
18 data by the companies, I mean publicly gathered
19 data. So another question therefore that occurs
20 to me is that if we approve it for specific
21 histologies or, you know, certain restricted
22 diseases or otherwise, should the -- should this
23 be for a period of time and should the data be
24 gathered by an independent source? Now that's
25 not to say a clinical trial. A clinical trial,

00380

1 you know, you have mentioned is very difficult to
2 start, especially if it is only directed at the
3 DiSC assay or whatever. But for example, you
4 could just have the results go into a central
5 repository, and the physician who used the test
6 would be required to say exactly how he treated
7 that patient, for how long, and was it on
8 protocol or off protocol.

9 My final question is, what does this do
10 to clinical trials? And we have large public
11 groups, cancer, breast cancer groups, prostate
12 cancer groups, et cetera, working very hard to
13 define what are the best therapies for each of
14 these cancers. Now those cancers at least are
15 more defined amongst themselves. If we bring in
16 such a test as this, do we undercut what's being
17 done in those trials, because, in other words, I

18 want to dovetail them, but I need to know how. I
19 don't want to see suddenly everything being done
20 willy-nilly based on a test that after all, only
21 seems to have an 80 percent or so sensitivity.
22 In other words, there are patients who are
23 resistant who may respond. I think that's the
24 question.

25 So with, those are the questions I have

00381
1 in my mind, and I would like to listen to more.

2 DR. FERGUSON: Paul Fischer.

3 DR. FISCHER: In preparation for this
4 meeting I called a couple of the thoughtful
5 oncologists in the Augusta area and asked them
6 about their knowledge and experience with this
7 test. They were uniform that in their opinion
8 that it was not something that was useful because
9 people did not behave the way the test would
10 predict when they were given chemotherapy. And
11 what I realized after listening to the folks
12 yesterday was that there are really two cultural
13 views here. The one cultural view is histology
14 driven. We look under the microscope, we see a
15 particular histology, and we therefore know what
16 drugs to give. The other world view is the test
17 tube driven world view. And when they were
18 showing slides yesterday, one of the speakers
19 said, well, I know what to give because I see all
20 these cells got killed. So it's a really
21 different way of believing what is going on in
22 the world.

23 And then the question for me becomes,
24 do the champions of the technology who spoke
25 yesterday, do they represent pioneers or nuts?

00382
1 And I think that's what HCFA has to decide,
2 because does HCFA advance the standard of care
3 where the average oncologist says we don't
4 believe in this, but HCFA is going to pay for
5 this so let's try it, or do they support the
6 current standard of care, which is to let the
7 histology world decide what to do.

8 The problem I have as a family doctor

9 is that in my total practice experience I believe
10 that probably my patients have been hurt as much
11 by chemotherapy as they've been helped. And
12 that's even given some of the wonderful drugs
13 that do respond beautifully to chemotherapy. But
14 I regularly protect my patients from oncologists
15 who have this histology world view. If there is
16 a tumor, it needs chemotherapy. And if you
17 didn't respond to the first one, you get the next
18 one. So eventually you're given drugs that are
19 more and more toxic, less likely to benefit the
20 patient, but because you've still got tumor, you
21 need another course of chemotherapy.

22 Now my way of dealing with this in
23 practice is to be very selective of who I send my
24 patients to, and some oncologists are
25 conservative and some are not, and I avoid the

00383

1 latter. But I, you know, on a weekly basis sit
2 down and talk with a husband and wife and talk to
3 them because they had some advice from an
4 oncologist that they should go through this
5 chemotherapy and to be quite frank about it, it's
6 not always a very balanced view of whether the
7 patient is going to benefit from it. So I really
8 believe we need to move beyond the current
9 situation and put some brakes on this histology
10 driven world view which encourages more and more
11 chemotherapy given with less and less benefits.

12 And I'm not sure we need to say that we
13 have proven that this technology is useful for
14 every tumor and every drug. You know, obviously
15 they haven't. But clearly they have in CLL. You
16 know, I'd like the people who give chemotherapy
17 to stop for a second and say well, gee, maybe
18 there are some other ways to think about who
19 needs what, and this seems as good as any
20 approach currently available, and I would
21 therefore, I will vote to support some sort of
22 funding for this when we get to the end of the
23 morning.

24 DR. FERGUSON: Dr. Klee.

25 DR. KLEE: Hello. My name is George

00384

1 Klee, and I guess as I looked at the data that we
2 had sent to us early on, it looks like you have a
3 valid laboratory test. But where the issue sort
4 of comes is where does this fit into the practice
5 of medicine and how does it improve patient care
6 in the longer run? You know, if we were to look
7 it in sort of a protocol design, a selection of
8 which drug should be used in which diseases, that
9 seems to be a legitimate application that could
10 go forward. Looking at it on individual patients
11 is where the issue seems to come into play, and
12 if so, which patients and for what decision
13 purpose are we looking at it?

14 The data that was sent with the packet
15 seemed to indicate that the best utility for the
16 test was in the negative predictive value. That
17 is, in response to determine which patients are
18 not likely to respond to chemotherapy. And I was
19 pretty well convinced of that until yesterday's
20 presentation by Dr. Burken. And going through
21 the numbers that were in those slides, and I
22 tried to tally them up last night, there are very
23 few that are up in that 99 percent negative
24 predictive value. You know, there was a few of
25 them that had numbers less than 20 that had 100

00385

1 percent negative predicted value, but there was a
2 lot of cases that were, a lot of publications
3 that were referenced there that had negative
4 predictive values in the 20 to 60 percent range,
5 which doesn't really make too much sense if you
6 have an a priori odds of 70 percent, that
7 apparently these are not prevalence adjusted.

8 And although the presentations went
9 through a lot of explanation of the Bayesian
10 theory, it would be nice to have these numbers
11 prevalence adjusted. But even so, if you start
12 with this at a 50-50 with those lower odds, it's
13 getting up to a point where it's not looking like
14 this test would really be that useful. You know,
15 if you had something that's, you know, you have a
16 priori odds of 70 percent, and you can only get

17 it up to 80 percent chance that this drug is not
18 going to respond, that's not very much of an
19 improvement over the prior. You know, if you're
20 taking it up so you've got a chance of one in a
21 hundred that this drug is not going to respond,
22 then I think we've got something we can use in a
23 clinical decision. So I guess I would like to
24 see some of that information further clarified
25 and presented in the form that, you know, several

00386

1 presenters have indicated that the Bayesian
2 theory is needed, and it's the post odds that
3 we're looking at in terms of the negative
4 predictive value on these tests.

5 I guess I'd like to expand on several
6 of the issues that were raised by other members
7 of the panel here in terms of, we've got a
8 multitude of diseases, and where does this fit
9 in? You know, we've got a multitude of drugs,
10 we've got a multitude of subclasses of these
11 diseases in terms of whether it be histology or
12 whether it be in terms of just other clinical
13 classifications as to the stage of the disease
14 and things like that. It looked like it's too
15 big of a matrix that we're trying to deal in
16 here, and it didn't look like one size fits all
17 for the answer to that.

18 And I agree with the general assessment
19 that the leukemias, CLL in particular, seemed to
20 be one where the focus looked a little more
21 channeled in an area where we can say that there
22 is a definite improvement based on at least a few
23 trials that have been out there. But at the same
24 time, when we look to see, there was a question I
25 was asking there yesterday, has it really been

00387

1 compared to using this test versus not using the
2 test. That seems like where you would find out
3 whether or not there is a benefit for the test.
4 And those studies have not been done.

5 And I would like to maybe inquire a
6 little bit more of Dr. Bagley, that at our
7 orientation session, you had mentioned that there

8 is some new activities going on with HCFA where
9 tests can be prospectively monitored in terms of
10 utility, and perhaps introducing this in a
11 disease management strategy with controlled
12 output, you know, similar to what Dr. Brooks was
13 alluding to, but is that something that through
14 the funding mechanisms of HCFA, that this could
15 be carried out, since NCI doesn't look like they
16 have carried this out recently. They looked at
17 it many years ago. And if this is not part of
18 the clinical trial approach, we need an alternate
19 way to do this. It doesn't seem like it's
20 something that's a yes no answer, perhaps a
21 controlled introduction in a very focused disease
22 with the output monitoring required as to what
23 happened to these patients, was there benefit in
24 terms of quality of life years or in terms of
25 survival, or in terms of any other parameters you

00388

1 might put up. But it looks like if we're going
2 to take the practice of medicine to this higher
3 plane that has been alluded to several times, we
4 are going to need to do it in a controlled
5 manner. Somebody's going to have to pay for it.
6 It's not reasonable, I think, to have the burden
7 of this put back into the people that may be
8 making the test, but it needs to be looked at in
9 terms of those that would be benefitting from it
10 and as it is part of health care policy, then it
11 looks like it should be, you know, integrated
12 through, whether it may be a joint venture
13 between NCI and HCFA, to say how do we carry this
14 out in a manner that we can say, give this a
15 controlled trial over X number of years, see
16 what's going to happen, only apply it in a very
17 limited focused area, for example CLL, and then
18 try to see, did it make an impact, did it change
19 the way that we're caring for these patients?
20 Did it change the benefit in terms of life
21 expectancy or quality of life years for the
22 patients.

23 DR. BAGLEY: Let me answer that real
24 quickly, and I'll try to make it a quick answer,

25 because that's a long complicated issue. And it
00389

1 has to do with when should Medicare become
2 involved in issues that are not quite settled,
3 how should we continue to look at things, and
4 what can do? I'll give you some examples and
5 tell you where we do do it then, and then tell
6 you how limited that option is.

7 In two areas of diagnostic testing, we
8 have recently written cautious coverage policies,
9 not that different from what we're dealing with
10 here today, new technologies have a lot of
11 promise, clinical community doesn't know quite
12 how to use it, they are not so sure they're going
13 to use it to replace something else, we're not so
14 sure it's going to improve care, but we
15 cautiously advance coverage, and say we'll not
16 only pay for it, but in paying for it we will
17 collect some information. Now we can't collect
18 very much information. By law, we can only
19 collect the information necessary to process the
20 claims. But we can interpret that to the point
21 of saying we can get a certain amount of clinical
22 information because that's what we process claims
23 in.

24 Those two example list are magnetic
25 resonance angiography of the head and neck, which
00390

1 is new and controversial. We added some coverage
2 for MRA for head and neck vessels, and at the
3 same time we cover it, we gather some information
4 on what the indications were and how it's used,
5 so that we can continue to evaluate it and say is
6 it making a difference.

7 We're doing the same thing with the
8 other example I mentioned, PET scans. PET scans
9 for single pulmonary nodules which, you know, the
10 promise was, it's a better less invasive way to
11 monitor these patients. We're collecting the
12 information from the claims in such a way that we
13 will have an idea of what the experience was, and
14 are these patients avoiding biopsy, or are they
15 getting some other ones. That's one place to do

16 it.

17 But remember that in a very limited way
18 we have gone forward and said that we are very
19 cautious. And we have to be very clear that
20 we're not doing research, because research is not
21 only not Medicare's job, it's prohibited. So we
22 are not doing research, but we are at least
23 monitoring early diffusion of technology.

24 The third place we have done that is in
25 another area called lung volume reduction

00391

1 surgery, which is a new surgical procedure. It
2 has the promise of helping patients with
3 emphysema. It was early touted as a miracle
4 cure, some people still believe it is, and we are
5 promoting it very heavily. And we looked at it
6 early on, and realized that this would be another
7 issue such as is turning out to be, bone marrow
8 transplant for breast cancer, something that
9 after ten years not only was never proven to be
10 beneficial, in fact it turns out it may be
11 harmful. And so, lung volume reduction surgery,
12 we're gathering information at the same time
13 we're paying for it in limited clinical trial.

14 But in general, it is very difficult
15 and may not even be possible, although it's
16 tempting at times for an issue like this, to say
17 gee, it's early, let's pay for it and keep an eye
18 on it, and then we will sort of stimulate the
19 research.

20 In the previous panel we had a multiple
21 myeloma, which is at the current time
22 investigational, and the question was, is it
23 ready to move to prime time? We made it clear to
24 that panel and I think it ought to be clear to
25 this panel and future panels, that when Medicare

00392

1 covers in an area that -- the day of the clinical
2 trial is gone. We can accumulate information
3 from case series, we can watch patients, but the
4 day of the clinical trial is over when Medicare
5 starts to cover it. There will be no
6 randomization for that procedure ever again,

7 because we have made the decision by coverage of
8 saying randomization is no longer necessary, we
9 have the answer.

10 DR. FERGUSON: Dr. Sundwall?

11 DR. SUNDWALL: Thank you. I feel
12 fortunate going at the end of this discussion, so
13 I get the benefit of all these experts, and I
14 don't mean to be flip about that. I really
15 appreciate the expertise of the panel, more
16 analytical than those of you who carefully
17 reviewed the studies. My perspective is that of
18 a family physician like Paul, who is taking care
19 of patients, diagnosed cancer, followed them
20 through chemotherapy, and seen sometimes the
21 benefits, more often the heartache and morbidity
22 that's associated with that. That doesn't mean
23 I'm not a believer, it's just that I think we
24 need to have a very healthy skepticism about
25 current cancer treatments.

00393

1 I am also coming at it from the
2 perspective of someone who's spent most of my
3 adult life in health policy here in Washington in
4 different capacities, and I'm very familiar with
5 the tension between those advocating something
6 new and wonderful, and the payers who in their
7 responsibility to monitor how we spend our funds,
8 make sure they are done appropriately.

9 However, I must admit that through the
10 course of the discussion yesterday, I was
11 reminded of a quip I once heard about economists,
12 which was intended to be funny, but the
13 economists were described as people who, when
14 something is proven to work in practice they want
15 to find out if it works in theory. And it seemed
16 to me the preponderance of evidence was that this
17 is in fact a useful tool, it's information, I
18 think in some respects it has been oversold in
19 what it promises, but I look at it more simply as
20 Grant described, it's information. And it
21 clearly would be useful to me and my patients in
22 making decisions about chemotherapy.

23 I think to put it in context of

24 reasonable and necessary is wise. It is
25 reasonable. Whether it is necessary, I think

00394

1 must be left to the discretion of those experts
2 who are going to be using it.

3 And Grant, I just have a quick question
4 for you, because I'm not clear in my mind, maybe
5 I should be at this stage of our panel's life,
6 but I'm not. Let's assume for the sake of
7 discussion that this panel has consensus that it
8 is reasonable to pay for. However, to determine
9 what's necessary is going to, because I know
10 about this having participated in the negotiated
11 rule making, that won't be done willy-nilly as
12 you were talking to Dr. Brooks, that won't
13 happen, because Medicare doesn't willy-nilly pay
14 for anything. It will either be then subject to
15 development of a national coverage policy, or
16 left for medical review. And how do you envision
17 the next step? Will it be an LLMP or will it be,
18 will you ask us, not us, but will you convene
19 another panel or will you ask us to reconvene and
20 develop what we in negotiated rule making did?
21 For those of you who don't know what that was, we
22 did wrestle over several months and developed
23 coverage policies, was it 23 tests or 24?

24 DR. BAGLEY: It seemed like 124, David.

25 DR. SUNDWALL: For those of us who

00395

1 participated, it was a 13 month process in total,
2 and it felt like going to the dentist for three
3 days at a time, but we did it, and it was a
4 useful thing. So do you think this kind of
5 testing would be subject to a national medical
6 review policy or would you leave it at the
7 discretion of the carriers?

8 DR. BAGLEY: Well, given the fact that
9 the whole negotiated rule making process was
10 driven by mandate from Congress, and the goal was
11 that there be national policies for laboratory
12 tests, and even when they weren't national
13 policies they should be uniform across all the
14 regions, I think it would be our goal to in one

15 fashion or another to try to make the policies as
16 nationally uniform as possible. That means
17 making them as specific and data driven as
18 possible, and that means being as specific as
19 possible in what we're dealing with. And most
20 likely, it's going to require something beyond
21 what this panel will do in terms of mining
22 through the literature. So should this panel
23 make a recommendation that we be, that we go down
24 a path of looking for specific kinds of
25 indications, of drug tumor combinations in which

00396

1 the evidence is somewhat better, then I think we
2 would undertake the task of trying to mine the
3 literature for that purpose. But I don't see
4 reconvening this panel. But I also think our job
5 would be to try to find a consistent policy which
6 could be applied nationally, whether it be
7 regionally uniform or national, I think we would
8 try to have some uniformity.

9 DR. SUNDWALL: Because I think that
10 would calm a lot of the concerns that I have
11 heard about today, the idea that if some of us
12 say that it's okay, then it's just universally
13 available. I think there needs to be guidance
14 and expertise on how it's appropriately applied.

15 The last comment I would just make is,
16 I think from a health policy standpoint, we keep
17 talking about quality access and costs, and
18 access I think is important, and this is a useful
19 technology and ought to be accessible to Medicare
20 beneficiaries appropriately applied. I do think
21 the cost issue is promising. I was disturbed by
22 the testimony of the American Cancer Society
23 clinical oncologist yesterday. It seemed very
24 self serving. We're neutral, but by the way,
25 cover chemotherapy, and don't you dare tell us

00397

1 what we can't use. And I really thought that was
2 not constructive, and I think it's troublesome.

3 And I mean, you must hear this all the
4 time. A typical provider tells HCFA, I don't
5 want much, I just want more. But I thought the

6 oncologists were not constructive, and I'm
7 perplexed why they were not more supportive of
8 this when in fact they were during negotiated
9 rule making, and I assume this young man was
10 speaking on behalf of the society. But I do
11 think in the event this is approved, I think one
12 of the questions you posed before us merits
13 discussion and hopefully we'll have it through
14 all of the tests we talked about that, and that
15 is, no, I don't think HCFA should pay for things
16 that don't work.

17 DR. FERGUSON: Okay. Miss Simmers?

18 MS. SIMMERS: I think this fits into
19 the category of the tools that a physician should
20 use in order to dose, or what course of treatment
21 to endeavor and to go in that dialogue between
22 the patient and the physician and the family.

23 I think the one presenter and one panel
24 member pointed out something that I think about
25 all the time, at least in one region, and I think

00398

1 it unfair to have Medicare beneficiaries pay
2 their insurance premium and not get access to
3 that test. So I'm very much the 35,000 foot view
4 of the Medicare beneficiaries' needs and how well
5 what we decide to recommend would serve those
6 beneficiaries. And I think Dr. Bagley's point
7 about they help pay for it is very valid. They
8 do help pay for it, and as insurance carriers are
9 paid, I think the same level of access should be
10 available to Medicare beneficiaries that there
11 are provided for those who have private
12 insurance.

13 I have some concerns, however.
14 Certainly the accessibility of the test, although
15 I am convinced there are ways to address that, I
16 think there would be a duty to promote this as,
17 promote its accessibility not only in very small
18 regional areas, but nationwide, should we decide
19 to cover it. I think there are some valid
20 research and scientific questions to be
21 answered. I do believe that this is a diagnostic
22 test and should be held to that criteria, and not

23 to that of therapy. I think that was clear, and
24 although I think the science could be better,
25 it's not a perfect world, and what we see is at

00399

1 least compelling evidence to continue.

2 The policy specific issues, certainly I
3 am not the expert in that area, but it does seem
4 to be available to us to look at those cancers
5 and what circumstances with what drugs are best
6 pursued under this coverage recommendation,
7 should we make it. It seems to me the processes
8 are in place to do that, so I don't fear that it
9 can't be done. And certainly, the devil will be
10 in the details of that kind of process.

11 But after everyone has said their
12 piece, I guess if any of us in this room were to
13 face the terrible news from our clinician
14 tomorrow that we had cancer, who among us would
15 say no, this assay is not for me. And I believe
16 if it's for us, it is for Medicare beneficiaries
17 as well. So that's my comment.

18 DR. FERGUSON: Thank you. Before
19 asking some more of the panel, I have one
20 clarification, Grant, or anybody else. As I
21 understand it, our job is really to answer these
22 questions, which can be posed in the form of
23 motions. For us to add some more questions like
24 should Medicare cover this, yes or no, for our
25 panel, or under what circumstances for our panel,

00400

1 I did not think was our job. Has that been
2 changed?

3 DR. BAGLEY: No. We spent a long time
4 with these questions as staff. We spent a great
5 deal of time toiling over them. And the reason
6 is that the answers to these questions we think
7 are particularly relevant in giving us some
8 guidance in developing a policy. So I think we
9 are particularly interested, and the number one
10 goal should be, you know, to go through those
11 questions and give us not only answers, and they
12 aren't really yes or no questions necessarily,
13 but to give us some discussion and to give us

14 some rationale. And that's one of the biggest
15 reasons for having this transcribed word for
16 word, so that discussion around those questions
17 can, we can use as guidance in trying to develop
18 some policy.

19 So I think once these questions have
20 been dealt with, and we can have the discussion
21 and the committee's thinking around these
22 questions, the reason for number six is that the
23 committee can then entertain some other issues,
24 and I think we can hear the other concerns from
25 the committee or from individual committee

00401

1 members. But I think at this point, it is
2 particularly important and relevant to deal with
3 the questions presented, because we felt those
4 were necessary for us to have the direction for
5 policy.

6 DR. FERGUSON: I was going to ask that
7 we handle question five last and put six before
8 it, because it seemed that the fifth question was
9 sort of the bottom line question. Is that --

10 DR. BAGLEY: That's not inappropriate,
11 as long as, before lunch time, we deal with
12 question five.

13 MR. MINTZ: I have a question. Grant's
14 comments just prompted a question. I had the
15 privilege of serving on the myeloma panel, and we
16 went beyond the questions certainly to the very
17 direct question of coverage, you know, by virtue
18 of motions that were made during the course of
19 discussion. I'm interested in your comments
20 about that, and whether you felt the panel sort
21 of exceeded its charge in that regard, or whether
22 that was just something that happened in due
23 course and was appropriate.

24 DR. BAGLEY: Our lesson learned from
25 the myeloma panel and again, the reason for

00402

1 toiling over those questions as we did, our
2 lesson learned was, number one, the committee
3 discussion was far too short. We had lots of
4 presentations from the outside, the committee

5 didn't have much discussion, so when we took it
6 all home to try to make sense of it, we thought,
7 I wonder what this committee member was thinking,
8 I wonder what they were thinking. So that was
9 number one lesson; we wanted to know why the
10 committee is making the decisions they are, not
11 just do this, do that.

12 Lesson number two is, it's very easy
13 for the committed to sit here and say, and we've
14 heard a sense of that this morning, you know,
15 this sounds pretty reasonable and I think I would
16 want it, so other people ought to have it
17 available. On the other hand, it needs to be
18 fit, we need to look at the science, the policy
19 has to be restricted and figure out where it
20 fits, and maybe gather some data, so we think
21 HCFA ought to pay for it, but only when
22 reasonable and necessary, and they ought to
23 gather some data. We knew that coming in, so our
24 sense is that that's the policy direction we need
25 to go, and we need to hear from the committee.

00403

1 Not that the committee can say, cover it for this
2 ICD-9 code and this one, but we need some policy
3 directions on what we need to look for.

4 So, the lesson learned from myeloma is
5 -- and that's why we fashioned the questions, so
6 I don't think it's totally inappropriate for the
7 committee to make some expressions, but the
8 myeloma panel left us to cover this, but I really
9 think it's necessary, and we did have good
10 people, and the right kind of people and figured
11 it out. That's not being that critical, but you
12 know what I mean.

13 DR. MINTZ: But it did direct, it did
14 have a specific vote on coverage. It went
15 through cytogenetics and it went through each of
16 the clinical conditions and voted 11 times, I
17 think, to cover under a variety of circumstances.

18 DR. BAGLEY: That's very true, and
19 we're cognizant of that. But I think our goal in
20 fashioning these questions, at least as far as
21 threshold issues, were to give us the information

22 that we need for policy.

23 DR. MINTZ: So it is a different
24 direction in that sense?

25 DR. BAGLEY: Yeah, I think it is. And
00404

1 I think it also makes it clear that HCFA, after
2 all, cannot abrogate the coverage decision making
3 to the panel. The panel is advisory and the
4 panel needs to give us advice so that we can make
5 the coverage decisions, and simply having
6 battling coverage decisions doesn't help the
7 information we need to make the decisions.

8 MR. MINTZ: Just for this panel's
9 information, the myeloma panel voted to cover
10 everything but refractory relapse, and went
11 through a whole series of votes to do that. So
12 it is very different from what we experienced.

13 DR. BROOKS: Dr. Bagley, I guess your
14 response to one of the other questions and
15 whether or not, you know, clinical trials are
16 over when Medicare decides to make a decision is
17 like very very crucial to me, because we have
18 data on certain areas that I think we all agree
19 at least has clinical utility. But what we
20 actually don't have in each individual, patient
21 by patient, whether they got the sensitive drugs
22 or not in all those studies. And so, I still do
23 look upon it as a potential willy-nilly thing
24 unless we collect data as we go, and maybe
25 reevaluate in a few years as to what -- because

00405
1 you know, we have that 20 percent problem there,
2 and that was brought up in the negative
3 predictive value.

4 So, I would really like to know whether
5 or not in a coverage decision, you can mandate a
6 trial that is, you know, it may not be the usual
7 clinical trial that everyone thinks about, but at
8 least, that you can say, I'm going to have the
9 NCI or the CDC or somebody, collect data as we go
10 here, that if people are not using the test to
11 give the sensitive drugs after a few years, then
12 what's the sense of having the test? Or if

13 everybody's just ignoring the results and so
14 forth, or in fact, if when they get a negative
15 result and people went ahead to treat with the
16 negative drugs and they responded 25 or 30
17 percent of the time, which is about the 30
18 percent of the time they respond to almost
19 anything, so I would like to really know that.
20 And so, what's your answer to that question as to
21 whether you can require in a coverage decision
22 that at least ongoing, much more than your
23 claim? I mean, I don't believe you can evaluate
24 this by your claim data, you know, who got what.

25 DR. BAGLEY: Well, it's -- it would be

00406

1 long and time consuming for us to try to tie a
2 coverage decision to what NCI was doing, and it
3 would probably, we would be here years from now
4 talking about the same issue. That's one
5 possibility. But the other one is that normally
6 if it requires a clinical trial, we don't cover
7 it, and if it's gone beyond that and then
8 diffuses, we do cover it. Now as I mentioned,
9 there are ways in which we can cover things and
10 we can gather information, and by law we can only
11 gather what's necessary to process the claims.
12 But it's surprising, the amount of clinical
13 information that that makes available to us. It
14 is a fairly rich data resource.

15 And I mentioned, for example, PET scans
16 for single pulmonary nodules. Now what that
17 policy says is that, it says that the purpose of
18 this is as an alternative to open biopsy, and
19 that we would not expect to see open biopsies
20 after single pulmonary nodules. That's what it
21 says in the narrative of the policy. Does that
22 mean that we would never pay for an open biopsy
23 after a negative PET scan for a single pulmonary
24 nodule? No, of course not, because there are
25 going to be clinical circumstances in which it's

00407

1 necessary. But it does say, and it's an
2 expression, and what we did, the way we described
3 it is that we said, you know, when you approach a

4 PET scan for a single pulmonary nodule, you have
5 reached a fork in the road.

6 And we would look at sensitive testing,
7 or resistance testing, as a fork in the road. We
8 have a test. We're going to do a test, and that
9 test is a fork in the road for us, and depending
10 on the result, we're going to go down path A or
11 path B. Now, I think that a physician and a
12 patient should have a full discussion of that
13 fork in the road before they go down a path. And
14 to do the test and say, well, this test was a
15 fork in the road but don't like the answer, let's
16 go the other direction, makes you wonder whether
17 the test was necessary or whether the direction
18 is necessary.

19 And so what we would do, hypothetically
20 for example, is that the policy would say that we
21 would ordinarily consider it not medically
22 necessary, or not reasonable and necessary to use
23 chemotherapeutic agents shown to be resistant or
24 not sensitive by this testing system. That means
25 that an oncologist ordering the test, and then

00408

1 embarking on a course of therapy which was not
2 shown to be beneficial by the testing would be
3 very likely to having a carrier medical director
4 say, I think these claims are not reasonable and
5 necessary, I'm going to deny them. And then they
6 could go practice their medicine in front of an
7 administrative law judge.

8 And I think that would not be an
9 unreasonable kind of policy. That would allow us
10 to gather some kinds of information, and we would
11 see where it goes. But to say we are going to
12 put a clinical trial together as part of our
13 coverage, I mean when we start paying for it, the
14 ability to collect information, and the impetus
15 out there, and the stimulus for people to do
16 clinical trials goes away. And we've seen it
17 time and time again. And bone marrow transplant
18 for bone cancer, I think is a perfect example.
19 When payments started, the clinical trials
20 stopped. And it was only after many many years

21 of accumulated data that we then found out that
22 this was not a wise course.

23 DR. FERGUSON: Dr. Hausner?

24 DR. HAUSNER: This is a question for
25 Dr. Bagley, so that I can understand the

00409

1 process. The test itself would be relatively
2 expensive, say compared to a CBC, in other words,
3 whatever the price is, and I know we're not
4 talking about price, but let's establish that. A
5 concern that I have, and this is, I practice
6 pathology in a world probably quite similar to
7 the world that Dr. Fischer practices his family
8 practice, and the question of proper utilization
9 is very much on my mind. Would the decision to
10 pay for the test eventually reflect itself in a
11 hospital DRG? In other words, would the test be
12 so expensive that the DRG for say CLL have to be
13 modified in order to incorporate the test?

14 The second question that I have, and
15 it's a concern particularly for CLL, which is a
16 malignancy that sometimes isn't even really
17 treated in an older patient necessarily, would be
18 how to avoid this becoming a standard beginning
19 of disease test that might or might not be used?
20 Is there any way to monitor, and I know you can't
21 say, well, by God, if you do this test, you'd
22 better get some chemotherapy. I am not quite
23 advocating that. But you know, do you understand
24 the flavor of what I'm asking? Because from my
25 point of view, I want to do the right thing for

00410

1 the patients, but at the same time, I don't want
2 to inadvertently give a group the key to the
3 treasury.

4 DR. BAGLEY: Well, nothing in Medicare
5 is simple. Let me start out by saying that.
6 It's always complicated. And the one, well, one
7 of my adages in Medicare is that however
8 complicated it seems to be, it will be more
9 complicated by the time you get to the end. So
10 how this would be paid for and in what fashion
11 is, I think is something for the future to

12 decide. Obviously a patient in the hospital who
13 undergoes surgery or biopsy and the tissue is
14 harvested in the hospital, then the test itself
15 is going to become part of the DRG. Now if that
16 means that the cost of many malignancies is going
17 to go up, there is a way, and the mechanism is
18 that the DRG over time can reflect increased
19 costs. But it's not rapid, it's a slow process.
20 Would all of the costs of this be part of the
21 DRG? No, it wouldn't be, because some things are
22 not included in the DRG. You know, physician
23 services are not included in the DRG. So to the
24 extent that some of these are physician services,
25 pathology services, consultation service, you

00411

1 know, and a certain portion of these tests are
2 evaluation and billed as consultation services,
3 not as laboratory services, then they wouldn't be
4 in the DRG, but they would be in the part B
5 physician fee schedule payable out of part B, and
6 they would become simply payments from that
7 mechanism. So you know, it's going to be fairly
8 complicated, but I think it's -- you bring up the
9 point that the inpatient part of this is going to
10 be in the DRG, and it's going to impact on how
11 hospitals choose to have oncology patients taken
12 care of and their admission status.

13 DR. FERGUSON: Kathy, and then Dr.
14 Fischer.

15 DR. HELZLSOUER: This is Kathy
16 Helzlsouer. I just want to raise for discussion
17 among the panel how we are going to define
18 clinical utility, because I think that's the crux
19 of it and really the first question brings this
20 out. If utility is going to define as, is this a
21 marker of response rates, I think that's what the
22 literature has been designed to show. I think
23 the appeal that Dr. Fischer did, that gee, if I
24 could get oncologists to stop treating when it's
25 appropriate and not have all the side effects,

00412

1 that's great, but we don't have any evidence that
2 this would change clinical practice, that those

3 oncologists that you don't refer to because they
4 say never never never quit, they are probably
5 still going to say never never never quit, they
6 may not even do the tests and if they do it, they
7 probably won't always use the results. And we
8 don't have any evidence that it's -- would it
9 change practice or should it, because of this
10 issue of false positives, false negatives. And
11 negative predictive values, we learned yesterday,
12 is highly dependent on the prevalence of the
13 population under study. So we have to be careful
14 of the literature that we're looking at in using
15 negative predictive value or positive predictive
16 value to guide us.

17 So I think, like I said, it seems
18 reasonable to me, it gives them added
19 information. If that's the criteria, then that's
20 fine. But if we really want more than that, and
21 we expect this to change practice, I guess I'm
22 not convinced that it would or should. And we
23 don't have -- the issue of the added value, I
24 think comes up, because in the literature that we
25 have here, with the exception of the CLL study,

00413

1 which I am just looking through this morning, is
2 what is the added benefit beyond what we already
3 know, which is what Dr. Brooks is raising. Do we
4 have all the other markers that are now being
5 used and accepted, not just histology, but other
6 prognostic markers really define who's going to
7 ultimately respond and not respond. Does this
8 add something to that information or doesn't it?
9 We don't have from the studies that have been
10 done to date anything to say what the added
11 benefit of this test truly is, with the exception
12 of perhaps CLL.

13 So I agree with you. I think that as I
14 said, a huge benefit would be if you could
15 eliminate toxicity from agents that would not be
16 used, and that may be the case, at least the
17 first line. But you're talking about a specific
18 situation too, which is the other overriding
19 issue. You're talking about metastatic end stage

20 disease when you were jumping to chemotherapy.
21 So that's what I'm struggling with. I think if
22 the diagnostic tests say it's not in the patient,
23 there's obviously no harm. The only harm would
24 be, though, if it's used to guide therapy and
25 there's a significant rate of people that would

00414

1 not get therapy that would be potentially
2 beneficial, with 20 percent response rates in the
3 face of a test that says resistant. So if the
4 policy is tied to eliminating most chemotherapy,
5 I think there is the potential for harm here in
6 how the tests would be used.

7 DR. FERGUSON: Dr. Fischer?

8 DR. FISCHER: Yeah. We talked
9 yesterday about where the bar should be set for
10 the level of evidence. I would hope that the bar
11 wouldn't be set at the level where the people who
12 were coming up with this test have to demonstrate
13 that somehow oncologist's behavior has changed
14 for the better. That's much higher than we need.

15 DR. HELZLSOUER: That's your argument
16 for using the test, though.

17 DR. FISCHER: But to borrow Greenspan's
18 term, I'm not worried about irrational exuberance
19 with this test. I mean, the fact is, most people
20 don't believe it, and I think that it's a much
21 smaller job for the champions of this technology
22 to convince us than it is to convince all the
23 oncologists of the world. And I think that, you
24 know, that will happen. The evidence that we saw
25 from '99 that has been published is a lot better

00415

1 than was published in '97, and it looks like we
2 are on to some good things here.

3 This is very different than
4 orthopedists and MRIs, you know, where you have
5 an orthopedist and an MRI, you've got somebody
6 getting a test. This is not something that's
7 going to overwhelm the oncology community
8 overnight because most people don't believe it.
9 And I think the champions are going to be
10 expected to publish data that will be disease and

11 drug specific, and to the extent that evidence is
12 persuasive, people will change their behaviors.
13 So I don't want Medicare doing any studies, to be
14 quite honest with you, and I wouldn't trust them
15 to do it. I think that the scientific community
16 is going to answer these questions over time.
17 The question is whether Medicare should pay for
18 it given what we know about it at this point in
19 time and you know, I think the data is pretty
20 persuasive. I am hopeful that that will change
21 the practice of oncology, because I see a very
22 different part of that practice than what the
23 average oncologist does. And they're the
24 patients and their family who have failed
25 chemotherapy with very terrible results.

00416

1 And I just want to repeat this one more
2 time. In the total practice, in my total
3 practice, there has been as much harm as benefit
4 from oncology.

5 DR. FERGUSON: Yes?

6 DR. BROOKS: Well, I don't personally
7 believe that, because over the course of 25
8 years, as has been published in a number of
9 publications, the survival rate for cancer is
10 going up, precisely because of all the clinical
11 trials and so forth, so I just do not believe the
12 American public is being harmed by oncology.

13 DR. FISCHER: I didn't mean oncology, I
14 meant chemotherapy, excuse me. Oncology is
15 different.

16 DR. BROOKS: But it is chemotherapy.

17 DR. FISCHER: No, no. It's a lot of
18 things, including detection of cancers earlier.
19 But in terms of somebody now has to get
20 chemotherapy and you're looking at their benefits
21 versus the cost, it's on balance a very hard call
22 to me, to say that my patient practice has
23 benefitted more than they have been harmed by
24 chemotherapy.

25 DR. FERGUSON: All right. Miss Kraft,

00417

1 and then we're going to have a break.

2 MS. KRAFT: I'd just like to echo
3 Dr. Fischer's comments. I mean, the statistics
4 prove that only one in every four patients
5 actually respond from treatment of cancer. And
6 I'd also like to comment on Dr. Bagley's comment
7 that bone marrow treatment for breast cancer
8 patients, deciding to pay for that was a bad
9 decision. Well, I don't necessarily look at it
10 that way. I mean, it was determined that it
11 would be funded, and did not the testing and all
12 that was done following the approval of that show
13 the American public that yes, bone marrow
14 transplants shouldn't be used in breast cancer?

15 And then to comment with Dr. Brooks's
16 comment about if this is approved, will clinical
17 trials stop and no testing will be done, again, I
18 echo Dr. Fischer's comment with the fact that I
19 don't think there is any laboratory test out
20 there that has been approved and been funded by
21 Medicare where as soon as it's approved and
22 funded that the testing in that laboratory, test
23 in any trial has stopped in modern medicine, for
24 the last 20 years. I think after a test is
25 funded by Medicare, that the trials and -- not

00418

1 necessarily the trials, shall we say, but the
2 testing done on patients increases 10 to
3 100-fold. So I think after approval the test
4 will probably find out a lot more information
5 than we have so far here today.

6 DR. FERGUSON: Thank you. We will
7 convene back here at 10:15.

8 (Recess taken from 10:00 a.m. until
9 10:20 a.m.)

10 DR. FERGUSON: Can we come to order?
11 Dr. Bagley has one point of order. Dr. Bagley?

12 DR. BAGLEY: Now we're getting to the
13 end of this, and I've given you an explanation of
14 why we presented the questions. And we need to
15 go through these questions, and Dr. Ferguson is
16 going to go through them in an orderly process
17 and we're going to get through them by noon.
18 People have travel plans and we are going to be

19 out of here at noon. So we have to keep to a
20 schedule.

21 Before we start voting on these
22 questions, I want to clarify one issue, or at
23 least one thing that might be a misperception.
24 The new process, and this is only the second time
25 we have done an advisory committee, but the new

00419

1 process which we put together, which I think is a
2 real step forward, is a process in which we do
3 these things in the open, we do them in a way
4 that we get input from all parties, and that's
5 why the committee is supposed to represent all
6 parties, and that's why we've got the opportunity
7 for public dialogue here. But it is a new
8 process, and I think we are feeling our way
9 along, and it's one in which I think the medical
10 profession itself is trying to find their proper
11 role in where they ought to be here, and what
12 they ought to be saying.

13 And this advisory committee process is
14 not for every issue. I mean, if we had every
15 issue before a committee like this, we would only
16 handle 10, 11 or 12 issues a year, and we would
17 not adopt many new things for Medicare. So it's
18 only for selected issues. Now, how do we select
19 them? Well, we've announced our reasoning is if
20 it has a high impact for the Medicare program, an
21 important advance, something that affects lots of
22 Medicare beneficiaries, something that is very
23 important for Medicare and its beneficiaries. If
24 it's something that is controversial in the
25 scientific community. If it is something in

00420

1 which there is a varying coverage, which appears
2 to be quite disparate without good explanation
3 across the country. Well, I think you've heard
4 in a day and a half that this issue clearly meets
5 all three of those criteria. We thought it did,
6 and that's why we presented it to this
7 committee.

8 But think on those for a minute.
9 Significant controversy within the scientific

10 community. We heard that and we've tried to
11 bring that to the fore and present it. And I --
12 the reason for this is I want to explain the fact
13 that I don't think you should read anything into
14 the fact that we made a staff presentation on
15 this issue, in which we pointed out what we
16 thought were many problems with the literature
17 and many gaps. That shouldn't be looked at as
18 prejudicial. That shouldn't be looked at as the
19 fact that we have a position or we have anything
20 else. It was in terms of balance, because as
21 you've noted, there are many proponents of this
22 procedure who are pointing out the favorable
23 aspects of the literature and the promise of this
24 procedure, and we are relying on them to make
25 that presentation. But we think in terms of

00421

1 balance, we wouldn't have brought it here if it
2 was one sided, if it was easy. And we want the
3 committee to hear both sides. And if we have
4 presented, at least been the devil's advocate in
5 saying there are some problems in this
6 literature, this is an early technology, this is
7 controversy in the medical community, and if we
8 as a staff, or if I have as the HCFA member here,
9 appeared to point out problems, if that appeared
10 to be negative, I want to correct that
11 misperception.

12 If we had our mind made up, we wouldn't
13 need to come to this panel. If we knew the
14 answers, we wouldn't need to come to this panel.
15 If they were easy questions, we wouldn't need to
16 come to this panel. So when it's been necessary,
17 we have been the devil's advocate and brought up
18 the contrary arguments, because there has been
19 adequate representation of the pros, we've tried
20 to bring up the cons, only for the purpose of
21 presenting this to the panel and having presented
22 it to the panel and having made it clear that we
23 don't have our minds made up, that we are after
24 all neutral, and waiting the panel's
25 deliberations also. So having made that

00422

1 hopefully clear, that there should be no bias at
2 this point, let the deliberations begin.

3 DR. FERGUSON: Thank you. Before we
4 start, are there any other points that the panel
5 would like to make before we start on these?

6 DR. LOY: I would just like to point
7 out that this discussion has been compared to
8 many other diagnostic tests and I really don't,
9 at least in my mind, I don't see this as a
10 diagnostic test. The diagnosis has already been
11 made. Clinically probably has already been
12 confirmed via other laboratory tests or other
13 modalities. I see this as a test which gives
14 probability into the likely outcome in terms of
15 response from a specific therapeutic modality, so
16 I think it's a little different from a diagnostic
17 test, and I don't think it should be compared to
18 other diagnostic tests.

19 DR. FERGUSON: Thank you. Yes,
20 Dr. Brooks?

21 DR. BROOKS: Yes. I guess I want to
22 ask my former question a different way of
23 Dr. Bagley, which is to say that, can Medicare in
24 its rule making or whatever coverage decision
25 it's called, put a requirement not to things in

00423

1 general, but if there are to be new clinical
2 trials of new agents, new agents now, or a new
3 agent combined with various other old agents on
4 various clinical arms by the government supported
5 NCI system with the C Comps, everything else,
6 that those new trials employ this new technology,
7 so that data at least may be gathered by that
8 process?

9 DR. BAGLEY: Well, real quickly, no, we
10 can't tell NCI what to do, we can't tell oncology
11 groups what to do, and we can't dictate clinical
12 trials.

13 On the other hand, oncology is a unique
14 area in which most of oncology is a clinical
15 trial. Most of the drugs we pay for are
16 investigational. In fact, if it becomes too
17 settled, then the next generation is

18 investigational, and that becomes standard
19 practice in oncology. So oncology is a little
20 unique that way. So much of what we do pay for
21 in standard daily practice is in fact a Phase III
22 clinical trial, a protocol, or even a Phase II
23 clinical trial in some cases. So, there will
24 likely be in the future, you know, should the
25 promise of this technology hold true, there will

00424

1 likely be in the future an approach by Medicare
2 for some hypothetical new chemotherapy agent
3 which is very expensive, very controversial, and
4 can be shown to be associated with a high
5 predictive value. There is hypothetically a
6 Medicare coverage policy in the wings which will
7 say we are not going to pay for this until it's
8 shown to be effective. Maybe this isn't the test
9 that's going to do it, but I think the day will
10 be there when we will select chemotherapy in a
11 more rational way and that will happen. So yes,
12 I mean, that approach can be put into policy, but
13 not directly in the way of we're directing
14 clinical trials, but when we are paying for the
15 drug, we can say this is how we'll pay for it.

16 DR. FERGUSON: All right. Yes,
17 Dr. Loy?

18 DR. LOY: I'd like to make one other
19 comment and that is, in answering these questions
20 that we have been presented with, I would hope
21 again that the panel would try to be very
22 specific about these. I feel like we're, again,
23 flying around 35,000 feet, and I think there is a
24 real need for trying to hone in on which specific
25 clinical indications, when, why and how often

00425

1 these tests should be performed, and that should
2 be part of these answers to these questions, in
3 my opinion.

4 DR. FERGUSON: Well, I'm not sure we
5 can do that, but I don't say that it's not
6 important. That may be the crux. However, we
7 will do what the possible is, and see. Now what
8 we're going to do is the following: I have asked

9 several members of the committee to propose a
10 motion, these questions, individual questions as
11 a form of a motion, and then we will have
12 somebody second that, whoever chooses, and then
13 we will discuss these individual things for about
14 eight minutes or so, and then we will have a vote
15 on that motion. And it will be a simultaneous
16 vote of this panel, not a sequential vote.

17 And the voting members, you may have on
18 your list, but the chair is not a voting member
19 unless there is a tie. Interestingly, we have 11
20 voting members, so I'll see. Supreme Court.

21 Now, Dr. Murray has volunteered to make
22 a motion for the first question.

23 Motions, Discussions and Recommendations

24 DR. MURRAY: I guess that's my reward
25 for saying that we have to get to the questions

00426
1 in my comments earlier. So with regard to the
2 first question, the issue of scientific evidence,
3 it's my belief that scientific knowledge is best
4 advanced in a free and open arena, not one that
5 comes with administrative preconditions, and
6 accordingly:

7 I move that the advisory committee
8 recommend that the clinical response as well as
9 survival rates be accepted as appropriate
10 measures of clinical utility.

11 Just repeating that, I move that the
12 advisory committee recommend in favor of clinical
13 response, not to rule out, but that clinical
14 response not further defined be acceptable as an
15 appropriate measure of clinical utility.

16 DR. MINTZ: I'll second that.

17 DR. FERGUSON: Moved and seconded.

18 Now, is there some discussion on this point? Dr.
19 Klee.

20 DR. KLEE: Does the term clinical
21 response include quality of life, or is that
22 inferred or explicitly --

23 DR. HELZLSOUER: May I make a comment?
24 This is Kathy Helzlsouer. I don't think it
25 should include quality of life, because we have

00427

1 absolutely no data to judge quality of life
2 issues here. So I think it's clinical response
3 is defined responders, non-responders, as we have
4 in the data. That would be my opinion.

5 DR. MURRAY: I did not intend to
6 include quality of life as a clinical response.
7 However, it goes without saying that many
8 measurable clinical criteria affect quality of
9 life. So I think it's a bit semantic, but to
10 answer your question, no, quality of life as a
11 specific criterion is not intended to be
12 included.

13 DR. FERGUSON: Is there further
14 discussion on this?

15 DR. BROOKS: So Dr. Murray, I would
16 gather then that your motion would be to say both
17 to the second part of that, what outcome measures
18 should we rely on to best assess clinical
19 utility, both clinical response and survival
20 rates?

21 DR. MURRAY: That's correct. That
22 would be an equivalent way of stating the
23 motion.

24 DR. BAGLEY: What we're talking about
25 is trying to be very procedural, and as we go

00428

1 through each one of these questions, I think some
2 of them will be quicker, some will take a little
3 longer. We want to afford an opportunity if
4 there's a relevant public comment somebody needs
5 to make, whether we should do all the questions
6 first or go each question, and I think what we're
7 going to do, if they can be very brief, but
8 again, if they're relevant, take a public comment
9 before we vote on each question, if it's
10 necessary.

11 DR. FERGUSON: Is there further
12 discussion from the panel regarding this motion?
13 All right. Is there a comment or some point from
14 the floor regarding this motion?

15 We have our protocol lady again coming
16 to tell us. My script is missing.

17 Would anybody like to make any lasting
18 remarks? This is for posterity. Speak now or
19 forever hold your piece. Okay.

20 Can I have the recorder read the
21 question, or read the motion back?

22 THE REPORTER: It will take me a second
23 to find it, but yes.

24 DR. FERGUSON: I thought I was trying
25 to be very precise about this. If it's going to

00429
1 take a while, maybe you could just read it again.

2 DR. MURRAY: The motion is that the
3 advisory committee recommend that clinical
4 response as well as survival rates be accepted as
5 appropriate measures of clinical utility.

6 DR. FERGUSON: Okay. All in favor,
7 raise your right hand. Okay.

8 All opposed?

9 For the record, it was unanimous.

10 Do I have to read their names? Okay.
11 So, unanimously approved by Robert Murray, David
12 Sundwall, George Klee, Paul Mintz, Richard
13 Hausner, Mary Kass, Cheryl Kraft, Neysa Simmers,
14 John Brooks, Paul Fischer, and Kathy Helzlsouer.

15 Now, we will move on to question number
16 two. I have asked Dr. Kass to make a motion
17 regarding question number two.

18 DR. KASS: I'm not certain how to put
19 this in the form of a motion. But I would submit
20 that evidence was presented yesterday showing
21 that tests have been done with combinations of
22 drugs, and continues to be done, so I think that
23 evidence was presented supporting this. I'm not
24 quite sure how to state that in the form of a
25 motion.

00430
1 I suppose I could move that that has
2 been presented to this panel.

3 DR. LOY: Second.

4 DR. FERGUSON: So it has been moved and
5 seconded, that evidence was presented to the
6 panel supporting these tests with combinations of
7 drugs. Is that it?

8 DR. KASS: Yes, sir.

9 DR. FERGUSON: Is there discussion on
10 this point?

11 DR. BROOKS: Yeah, I think it depends
12 what we're talking about, because the question
13 reads: The assay described in the literature
14 test responses to single drugs. What is the
15 evidence reporting test results in combination
16 chemotherapies? Combination chemotherapies were
17 tested in the tests, but I think the question
18 gets at whether there were responses to
19 combinations. Is that correct, or maybe I am
20 misreading that, because certainly I agree, there
21 were.

22 DR. KASS: I was reading that as test
23 responses.

24 DR. FERGUSON: Dr. Sundwall?

25 DR. SUNDWALL: Just a comment. I'm not

00431

1 sure it's useful for us to revisit this, but I
2 did hear yesterday that these tests are
3 customarily done on single agents, and that
4 provides the utility to the oncologist for the
5 decision making. However, it is possible to do
6 the combination treatments and they are sometimes
7 done but were not considered that useful. And
8 I'm not sure how -- it's not for me to decide, or
9 possibly this panel, but I think we ought to be
10 clear if we make this a consensus of the
11 committee that in fact it's customarily done on a
12 single chemotherapeutic agent, and if any of our
13 experts want to clarify that, they can.

14 DR. FERGUSON: Well, I asked this
15 question specifically yesterday, because it
16 seemed to me that most of the stuff we were
17 presented was with single agent testing. And I
18 was told by a number of the presenters that in
19 fact routinely they do test two agents together
20 on a single test, and that that is, although I
21 don't know how much of the publications indicated
22 that, but apparently some did.

23 So, is there further discussion about
24 this?

25 Is there a public comment on it?

00432

1 Okay. Dr. Weisenthal, and Dr. Nagourney too.
2 Briefly.

3 DR. WEISENTHAL: Yes. This is Larry
4 Weisenthal. The data that you heard yesterday,
5 there were three separate types of data which
6 dealt with that issue.

7 Firstly, the majority of the
8 correlations published in the literature of the
9 2,000 some correlation targets, actually if you
10 take all technologies, 4,000 some, 2,000 cell
11 death and 2,000 cell proliferation, of all those
12 reported in the literature, the majority of those
13 patients in fact were treated with combination
14 chemotherapy.

15 DR. FERGUSON: We are talking about --
16 this is referring to testing the drugs.

17 DR. WEISENTHAL: Yes, in 60 seconds.
18 The majority of those patients were treated with
19 combination chemotherapy. In some of the
20 studies, the activity of the best single agent
21 was used to predict for the activity of the
22 combination, and that works very well, and the
23 biologic explanation for that is that most
24 chemotherapy is additive and not synergistic, so
25 normally and frequently, it's the activity of the

00433

1 most active agent that determines how the
2 combination is going to do.

3 Many of the published correlations,
4 however, were actually combinations were tested,
5 and patient response to combination therapy was
6 compared with the testing in combination.

7 Thirdly, it's very important in only a
8 few situations, but in some situations it's very
9 important to test the drugs in combination. A
10 good example is Mr. Stein, who testified so
11 eloquently. His tests showed resistance to all
12 of the single agents but a unique synergy in that
13 one combination. And also the patient that Dr.
14 Nalick presented with the failure of the bone
15 marrow transplant, she was resistant to all

16 single agents, but to one unique combination.

17 So in special unique cases where we
18 actually get synergy, it makes sense to do that
19 and it is done.

20 DR. FERGUSON: Thank you. Dr.
21 Nagourney?

22 DR. NAGOURNEY: Basically my comment is
23 just to second that very issue, that there are
24 profound synergies in some combinations, some of
25 which can actually rescue patients from failure

00434

1 of both single agents. I think that biological
2 validity is forthcoming in publication. So I
3 think that combination sometimes can be uniquely
4 interactive and do have biologic validity in the
5 test tube that directly applies to clinical
6 outcomes.

7 DR. FERGUSON: Thank you. Mitch, did
8 you want to say something?

9 DR. BURKEN: Yes. Dr. Mitch Burken.
10 I'd just like to clarify a couple of things from
11 my presentation yesterday that relates to this
12 question. The issue that I think was intended by
13 this wording was what is available in the
14 literature to show the applicability of single
15 agent testing to combination regimens, as opposed
16 to what may be going on in the lab under special
17 cases. So I think this relates specifically to
18 the articles in the bibliography and those
19 presented to the panel about making inferences
20 from single drug to combination therapy, making
21 that jump.

22 DR. FERGUSON: Other comments from the
23 audience? From the panel? Do you want to read
24 this?

25 (Portion of record read.)

00435

1 DR. FERGUSON: Okay. Call for the
2 vote. All in favor of this motion? Okay. It
3 looks again unanimous, and this vote was
4 supported by -- do I read these names again? I
5 don't have to? I only have to read them once; if
6 it's unanimous, I only read them once. Sorry.

7 I'm on a learning curve here too.

8 Now, we move on to question number
9 three, and I've asked Dr. Fischer to make a
10 motion regarding this question.

11 DR. FISCHER: This gets to the issue of
12 the 64 bins that we talked about yesterday. In
13 particular, which tumors have been shown to be
14 useful to test, and I'd like to change the
15 question to the following position, that I move
16 that the panel accept:

17 In considering the presented evidence,
18 the advisory panel believes that HTASs
19 demonstrate a clear clinical benefit for
20 directing treatment of CLL, and promise for other
21 solid and hematologic tumors.

22 DR. FERGUSON: Okay. Is there a
23 second?

24 DR. SUNDWALL: Second.

25 DR. FERGUSON: Dr. Sundwall seconds.

00436

1 Is there some discussion? You're saying --

2 DR. MINTZ: I would be more comfortable
3 with the motion if the word clear was removed,
4 and simply said demonstrate clinical benefit,
5 only because of the uncertainties.

6 DR. FISCHER: I'd accept that.

7 DR. MINTZ: So would you amend the
8 motion to remove clear?

9 DR. FISCHER: Yes.

10 DR. FERGUSON: Okay. Kathy?

11 DR. HELZLSOUER: I guess I'm -- this is
12 Kathy Helzlsouer -- concerned a little bit about
13 actually the term clinical benefit, how we define
14 that. I'm very comfortable with these that are
15 markers of response, and can be used clinically,
16 but I think even in this trial, I haven't had
17 time to look at the one article that we gave
18 thoroughly, but to state that this has clear
19 clinical benefit is a pretty strong statement. I
20 think it shows clear benefit in directing some
21 therapy there, I might be much more comfortable
22 with that as amended as such.

23 DR. SUNDWALL: Suggestion. For

24 consistency's sake, I wonder if we should
25 substitute clinical utility as used above, with
00437

1 that definition.

2 DR. HELZLSOUER: I like that, and would
3 be much more comfortable.

4 DR. FISCHER: I'd accept that.

5 DR. FERGUSON: Okay so instead of clear
6 clinical benefit, clinical utility. Take off
7 clear. Okay? Dr. Fischer, can you restate that
8 please?

9 DR. FISCHER: Yes. In considering the
10 presented evidence, the advisory panel believes
11 that HTASs demonstrate clinical utility for
12 directing treatment of CLL, and promise for other
13 solid and hematologic tumors.

14 DR. HELZLSOUER: I second that motion.

15 DR. FERGUSON: Okay. Now, is there
16 further discussion on this amended motion? Yes,
17 Dr. Loy?

18 DR. LOY: I would only bring up the
19 point that has been brought up before, and that
20 is that I don't know that we really defined in
21 the course of disease where that clinical utility
22 might be. There are certainly CLLs that are
23 treated differently and diagnoses that are
24 treated, as compared to the way they are treated
25 at end stage disease or Richter's syndrome.

00438

1 DR. FERGUSON: Are there discussions
2 around that point, or others?

3 DR. BROOKS: I just guess, just that
4 there is nothing in the questions that, in other
5 words, the way it's written, and I very much
6 prefer to the way it is on paper, there is
7 nothing about stage of disease, which diseases,
8 et cetera, so I think we just have to consider it
9 as a whole.

10 DR. FERGUSON: I don't want to upstage
11 HCFA, but I think that to ask the questions in a
12 way that would prescribe specific therapies too
13 tightly would not be what HCFA would want. I
14 mean, in other words, to write a prescription for

15 the practice of medicine is not what we're trying
16 to do. Am I right?

17 DR. BAGLEY: Well, we get accused of it
18 all the time, but no, I think as I said, while
19 the questions in some ways are specific and some
20 ways are general, we worked pretty hard on it to
21 give us some direction.

22 DR. KLEE: It's my sense that you're
23 not going to be able to use tissue type to
24 determine which patient you're going to pay for,
25 given what we know at this point in time, and

00439

1 that's why this is a little more vague.

2 DR. MINTZ: Yes, but I think, Grant,
3 you did ask for direction, and I feel that though
4 we have modified the language to say clinical
5 utility, I feel strongly that this motion should
6 be supported. Dr. Bosanquet's article in the
7 British Journal of Hematology, I think speaks to
8 my sense that HCFA should cover this test for
9 CLL, and since you want a sense of the committee,
10 I think that's it.

11 DR. FISCHER: But not exclude it for
12 other things.

13 DR. MINTZ: Agreed, yes.

14 DR. FERGUSON: Is there some -- yes,
15 Dr. Bosanquet, or others from the audience?

16 DR. BOSANQUET: Some of the panel
17 members have said some nice things about the work
18 that comes out of my laboratory, which is perhaps
19 the smallest laboratory represented here. The
20 motion, and I'm sorry, I am going to inject an
21 added level of slight problem here, but you're
22 talking as a committee about the test, or the
23 methodology, and what I want to bring out is that
24 for hematologics, the extreme drug resistance
25 assays are not the -- some of the incorporation

00440

1 assays are not relevant for hematologics, it is
2 not anything used to test the hematologic
3 neoplasms. The DiSC assay and the MTT assay are
4 very similar procedures, and are relevant to the
5 hematologics. So I would caution you to say that

6 hematologics, or CLL in particular, can be tested
7 by this procedure, because there are actually two
8 different procedures. One is the drug resistance
9 assay, much of which has been presented to you.
10 The other is a drug sensitivity assay. Because
11 hematologics have a higher probability response
12 and you can't do a sensitivity assay there, I
13 think the added value of a drug sensitivity assay
14 is also higher, because you are no longer only
15 excluding the bad drugs, you're proposing good
16 drugs. Randy Stein, I would put to you, wouldn't
17 have been here on a drug resistance assay.

18 DR. FERGUSON: Thank you. I think my
19 previous comment might hold, that we're not going
20 to get that specific. Okay, Dr. Fruehauf.

21 DR. FRUEHAUF: Just trying to
22 understand the motion, in terms of how narrow or
23 broad it tends to be, but I wanted to emphasize
24 from my own experience in the field that
25 resistance is important, and that's not to

00441

1 minimize the value of sensitivity, but if
2 sensitivity is the only word used in the motion,
3 I think that detracts from where actually half of
4 the data are in the field.

5 DR. FISCHER: Yeah, but there is
6 nothing in the motion about either of those. I
7 mean, the term that I thought I had used was
8 HTAs, assuming that that incorporated all the
9 assays. Is that not true?

10 DR. FRUEHAUF: Well, yes. Okay. So
11 when you say directing therapy, because of my
12 long-standing experience in the field, you think
13 of that as meaning the selection of drugs rather
14 than the deselection of drugs, and so I'm just
15 asking for a clarification, that what you're
16 saying is these assays are applicable to solid
17 tumors and hematologics.

18 DR. FISCHER: Yes.

19 DR. FRUEHAUF: And you wanted to
20 emphasize that --

21 DR. FISCHER: The evidence is very good
22 for CLL.

23 DR. FRUEHAUF: The evidence is very
24 good for CLL.

25 DR. FISCHER: That's precisely my
00442
1 point.

2 DR. FRUEHAUF: Resistance, solid
3 tumors; I just wanted to ask for clarification.

4 DR. FERGUSON: I think the language can
5 stand where it does.

6 DR. BAGLEY: The fact that we are
7 considering these methodologies together, and
8 while there may be differences between them,
9 there may be different approaches, we chose to
10 put them together, because we didn't want to use
11 this panel process for single products. And I'm
12 also mindful of the fact that previously extreme
13 drug resistance testing was considered at the
14 negotiated rule making for laboratories and Dr.
15 Weisenthal showed up and said, wait a minute.
16 Extreme drug resistance testing and drug
17 sensitivity testing are really variants of the
18 same technology. There are some differences,
19 there are some nuances, but we are testing
20 susceptibility of tumor cells to drugs, and
21 whether we approach it from the right side or the
22 left, it's the same technology. And we took that
23 to heart and we're looking at all these
24 technologies together.

25 DR. FERGUSON: Thank you. Okay. I
00443

1 guess I will call for a vote. All in favor of
2 this motion, raise your right hand. And again,
3 we have a unanimous vote, and I have learned I
4 don't have to repeat people's names.

5 Now, question number four. I asked
6 Dr. Mintz to makes a motion.

7 DR. MINTZ: I move that if a human
8 tumor assay result indicates that a neoplasm is
9 resistant to a particular drug, that that should
10 not preclude the use of that drug during the
11 course of treatment for that neoplasm.

12 DR. HELZLSOUER: Second the motion.

13 DR. FERGUSON: Is there some discussion

14 on that point?

15 DR. BAGLEY: Well, I would start
16 simply by saying that I see this as a guidance,
17 and as a tool, that whether we consider it a
18 diagnostic test or a prognostic test, you know,
19 nothing comes to mind that has 100 percent
20 positive or negative predictive value, and I
21 think this is providing a piece of information to
22 the clinician.

23 DR. FERGUSON: I think that my sense, I
24 am not supposed to vote and I won't, but that a
25 laboratory test is, I think the clinician's view

00444

1 of that patient considering all things that they
2 have in their hands has to take precedence over a
3 single test. We've come across that in
4 neurology, on whether to turn off the respirator
5 if somebody's somatosensory evoke responses are
6 negative. They get measured, you know, and
7 people say, well, they're negative, so we can
8 unplug this patient. I believe that's not the
9 way to practice good medicine. At any rate, is
10 there further discussion about this point?

11 DR. BAGLEY: I don't like to ask the
12 question, because I would like to get some
13 discussion from the panel about where we are
14 headed in this thing, and to say, gee, a test
15 which shows the drug is resistant shouldn't
16 preclude using that drug, and I'm curious as to
17 why people think that the test would have
18 utility, which we voted that it did, if you
19 wouldn't use the result to guide therapy, if you
20 said well, it's just prognostic. And I would
21 think that prognosis ought to guide therapy. And
22 so, I mean I realize that physicians are uniquely
23 able to ignore information they don't like. I
24 mean, that's why the aircraft accident rate among
25 physician pilots is like six times the normal.

00445

1 DR. FERGUSON: Grant's a pilot, I might
2 add.

3 DR. BAGLEY: Because they use weather
4 reports the way they use laboratory tests.

5 DR. HAUSNER: Let me try to take a
6 crack at it. Use the analogy of a patient with a
7 urinary tract infection, that we start an
8 antibody, say Keflex, and we do our sensitivity
9 testing on the organism. Then we find out a day
10 and a half later that the drug, that the organism
11 on the plate is resistant to Keflex. Go back to
12 the patient, back pain has gone away, urine has
13 cleared up, fever is gone, and no one, any
14 insurance company said well, you need to stop
15 that Keflex immediately, because it was
16 resistant. And it's an easy question in the
17 context of say a urinary tract infection, because
18 we're dealing with shorter time frames, less is
19 at stake, less money, and it's something that we
20 don't even think about. I don't think anyone
21 here would vote to stop the use of an antibiotic
22 if it's resistant on the plate and the patient is
23 doing better.

24 In clinical oncology, which I don't
25 practice, we're dealing with a little different

00446

1 time frame and more is at stake, so I could
2 envision a situation, though maybe I'm stupid,
3 someone could correct me, in which a patient
4 comes in say, with a big meaty Steinal mass, has
5 some form of lymphatic lymphoma, their trachea is
6 being compressed, they've got to get on some
7 chemo. Meaty stenocopy is done. Tissue is
8 harvested, chemotherapy is started. And then two
9 weeks later, ta da, the organism, the tumor is
10 not apparently, not sensitive to the
11 chemotherapy. Patient course is breathing better
12 and doing well. What do you do then?

13 So I think that as illogical as it may
14 seem to people who aren't in the field, there is
15 really no other way to answer that question at
16 the current time than the way the motion has been
17 stated. There just isn't any way that I could
18 walk out of here and do it any other way, as
19 illogical as it seems to appear.

20 DR. BAGLEY: Well, let me follow up on
21 your example, because it's a good one. You make

22 a good point about clinical response as opposed
23 to the testing. But let's take your urinary
24 infection patient and you put him on Keflex, or
25 let's say you put him on Bacterin, you do a

00447

1 culture and sensitivity, and the culture comes
2 back three or four days later and it says this is
3 resistant to Bacterin, and they're doing better,
4 and they're clinically better. I don't think
5 most even managed care plans would ask for the
6 money back for the Bacterin. But on the other
7 hand, say they didn't respond, and they weren't
8 doing better on Bacterin, and you looked at the
9 culture and sensitive, and it said this is
10 sensitive to ampicillin and resistant to Keflex.
11 Now I don't know many managed care plans that
12 would say sure, go ahead and give him Keflex.
13 It's more expensive and it's resistant to it, but
14 go ahead and give it to him anyway. I think
15 that's the flavor of the question, is if we don't
16 have clinical response but we've got a prognostic
17 test, should the test guide therapy to the extent
18 of saying we should or shouldn't treat it?
19 That's the direction the question is going.

20 DR. FERGUSON: Yes, Dr. Mintz?

21 DR. MINTZ: I will take it from a
22 different angle briefly. Yes, it should guide
23 therapy. But if I had CLL and I were resistant
24 to fludarabine, don't start me on that. But if
25 it becomes fulminant, and if the other therapy

00448

1 has not worked, by all means, try it. You know,
2 I think it's a guideline, I think it's a tool,
3 but I don't think that because it can't be
4 expected to have a hundred percent positive or
5 negative predictive value, that it should
6 preclude the use of an agent when other
7 apparently beneficial agents aren't working.

8 DR. FERGUSON: Dr. Brooks?

9 DR. BROOKS: Yeah. I think I would
10 follow up on both of them and agree with them.
11 And I think the rational answer to your question
12 is it's not a perfect test. If it was a perfect

13 test, then I would agree, we should preclude
14 whatever it is, insulin in the example I gave,
15 but since it's not a perfect test, then you
16 shouldn't. And secondly, along the line of
17 Dr. Mintz talking, this may be the last drug in
18 the series. I mean, people have cancer, and they
19 may have seen four or five or six drugs, and now
20 you have a result that says it's resistant to
21 Taxol and yet, as we know, there might be a 20
22 percent chance this patient may respond to
23 Taxol. I'm going to have trouble as a clinician
24 explaining to that patient that, you know, I
25 can't give that because that result's resistant.

00449

1 I know there is a 20 percent chance, but I'm not
2 going to give that to you. So, I think for the
3 combination of those two reasons.

4 DR. BAGLEY: But even to the point of
5 -- I mean, you said it shouldn't preclude
6 therapy, but then you said well, gee, if I had
7 CLL and fludaribine showed resistant, I wouldn't
8 give it but I'd give it eventually if nothing
9 else worked. I mean, in a way, there you're
10 saying that we wouldn't give it as primary
11 therapy, but we might reserve it, and that
12 becomes a qualified answer, and I think that's an
13 important nuance.

14 DR. BROOKS: Well, that's because it's
15 a qualified test. It's not a perfect test.

16 DR. BAGLEY: But you're saying that if
17 it showed if it was resistant, you wouldn't use
18 that drug, until you had exhausted the ones that
19 didn't show the same thing. I think that's an
20 important distinction.

21 DR. FERGUSON: Two more points down at
22 the end, and then we're going to call for a vote.

23 MS. SIMMERS: I think to clarify it a
24 little bit, what you're trying to do is find the
25 drug that has the most probability of working and

00450

1 using it first, and then move down the line.
2 When you get to 20 percent, you're pretty
3 desperate, but should you exclude it from the

4 possible clinical modalities to take care of this
5 patient, and I think the answer is clearly no.

6 DR. FERGUSON: Dr. Sundwall?

7 DR. SUNDWALL: It's clear to me that
8 this issue is huge, and what we're talking about
9 is futile care, we're talking about explicit
10 rationing, and I really sympathize with HCFA
11 because I understand that with all our best
12 efforts to rationalize payment, everyone wants
13 that caveat, but yeah, in my case, in case I'm
14 dying, pull out all the stops. And as long as we
15 understand that, as much as we'd might like to,
16 Grant, we are not going to resolve these issues,
17 because it would be tantamount to explicit
18 rationing, and I don't think we are prepared to
19 do that.

20 DR. FERGUSON: Dr. Helzlsouer?

21 DR. HELZLSOUER: Yeah. I think it
22 should guide but not dictate care. I don't think
23 we can use the test to dictate care, and there
24 would be lots of reasons in addition to the fact
25 that you might have a situation, since 20 percent

00451

1 would respond even if they were resistant on this
2 assay, according to the literature we have, and
3 that's based on sensitivity response. You could
4 have a situation where somebody, you still have a
5 20 percent chance, and in combination you might
6 choose a less toxic drug rather than a more toxic
7 to which they are sensitive, because you're using
8 it in combination. There are a variety of
9 scenarios you can come up with that this test
10 alone should not be your sole, to dictate therapy
11 alone, and there has to be a combination of other
12 factors along with this test result.

13 DR. FERGUSON: Dr. Murray?

14 DR. MURRAY: I'm a little uncomfortable
15 with the motion as stated, because it seems to
16 undermine the value of these tests, that -- I
17 agree with what has been said, that there are
18 extraordinary circumstances, there are primary
19 failures, when it is appropriate to overrule as
20 it were, the results of the laboratory tests.

21 But the motion as stated seemed to indicate that
22 the test need not be given any weight, and yet
23 what I hear various panelists stating, yes, it
24 guides therapy, yes, you use it for your first
25 line choice, and I would like to see the motion
00452

1 amended to reflect that, perhaps with a clause,
2 in the absence of extraordinary circumstances, or
3 unless primary modalities have failed.

4 DR. HELZLSOUER: Well, I guess my
5 concern with your point is that if we had had the
6 evidence to say there was clinical benefit, which
7 is what we took out of the other one, we would
8 probably be having a little different view, and
9 be more willing to be restrictive perhaps, but we
10 don't have that evidence. We just have evidence
11 that this can mark response to certain therapies
12 and even at that, it's not a perfect test. So in
13 the absence of knowing that it really has a
14 benefit in terms of clinical outcomes, and we
15 don't have the evidence for that, I don't see how
16 we can be more restrictive.

17 DR. BROOKS: And we had the situation
18 earlier, and yesterday, as to how high to raise
19 the clinical bar to approve a test. Now if we
20 raised it to perfection, then I think there is
21 something to be said for that, but we didn't.
22 And in fact in the prior questions, we don't
23 expect anywhere near perfection. And we have the
24 20 percent issue for example. So I think as long
25 as that's the bar, that's the results of these

00453

1 tests, then it's going to be hard to tie
2 somebody's hands. And all it means, I know from
3 Medicare's point of view is one thing, tying the
4 doctor's hands, but what you're doing is tying
5 the patient's choices.

6 DR. MINTZ: I concur with those
7 comments, and not to repeat them, the motion was
8 really intended to be neutral in that regard.
9 The motion was intended simply to say that it
10 doesn't preclude payment for the use of that
11 test. And I saw it as not a heavy handed motion,

12 but rather as a very lightweight motion, in that
13 it really puts this in the hands of the
14 clinician, and that was the intent of the motion.

15 DR. FERGUSON: Okay. Can you read that
16 back?

17 DR. MINTZ: I can do it.

18 If a human tumor assay test result
19 indicates that a neoplasm is resistant to a
20 particular drug, that that does not preclude the
21 use of that drug during the course of that
22 treatment for that neoplasm.

23 DR. SUNDWALL: Before we vote, can we
24 modify that to say may not? That's a little
25 softer, because it would leave an open window,

00454

1 but give a little more weight to the test.

2 DR. MINTZ: I accept that. Of course I
3 didn't say shall not.

4 DR. FERGUSON: So you said -- read it
5 again now.

6 DR. MINTZ: Okay. I hope I'm reading
7 the same thing. If a human tumor assay test
8 result indicates that a neoplasm is resistant to
9 a particular drug, that this may not preclude the
10 use of that drug during the course of treatment
11 for that neoplasm.

12 DR. FERGUSON: Okay.

13 DR. BAGLEY: You know, the questions as
14 they are presented, I think the discussion we
15 had, the discussion I tried to provoke, and I
16 think successfully did, about what does it mean,
17 doesn't preclude is useful. And that's one of
18 the lessons we learned in the multiple myeloma
19 panel. We have to have a rich enough discussion
20 around the questions so -- we aren't bound by any
21 recommendation that, exactly the way it is
22 worded, but the discussion around it, which we
23 will have record of, as we try to interpret what
24 the sense of the committee was, I think is
25 fleshed out. So, by trying to provoke that

00455

1 little bit of a question about what does it mean
2 to use something resistant, I wanted to stimulate

3 that discussion so we would have that kind of a
4 rich record so that we could interpret what this
5 vote means, and I think we have done that
6 successfully.

7 DR. FERGUSON: Looks like you did. It
8 seems to me the easiest question took the
9 longest. I call for the vote. All in favor of
10 this motion?

11 Oh, I'm sorry; what? Public comment?
12 Thanks. Does the public have a brief comment?
13 Three milliseconds?

14 UNIDENTIFIED SPEAKER: One brief
15 comment. I'm a gynecological oncologist. Out of
16 about 500 patients that I have taken care of
17 using assays over a period of about 15 and about
18 -- over -- using about 300 patients with these
19 third generation assays, I can say that I've seen
20 two that I recalled, and possibly three patients
21 who have responded to a drug that was read as EDR
22 on the assay, three out of maybe 300 something.

23 DR. FERGUSON: Thank you. All right.
24 So that was again a unanimous vote, unfortunately
25 taken before the public comment. I guess the

00456
1 woodshed isn't too far away.

2 Now, I have asked Dr. Klee to formulate
3 question number five in the form of a motion.

4 DR. KLEE: Number five. I guess I will
5 just have to take it the way it's written here
6 and make a motion, in that:

7 I move that the advisory committee
8 recommend that there is not sufficient scientific
9 evidence to demonstrate the clinical utility of
10 HTASs in selecting appropriate cancer
11 chemotherapy.

12 DR. FERGUSON: Okay. Is there a second
13 to that?

14 DR. MURRAY: Second.

15 DR. FERGUSON: All right. It has been
16 moved and seconded that there is not sufficient
17 evidence for these tests. Is there some
18 discussion on that point?

19 DR. SUNDWALL: I'm surprised. I

20 thought that the discussion so far would indicate
21 there is sufficient scientific evidence to
22 demonstrate clinical utility in the selection of
23 an appropriate chemotherapeutic agent, and
24 inserting not in there surprises me.

25 DR. KLEE: The reason I was putting it

00457

1 that way is that this is a very comprehensive
2 statement and if we look at it in all disease
3 states, we haven't seen data, so there isn't
4 sufficient information in that. If we target it
5 to one specific one, we have already said that up
6 in the earlier ones, where we looked at CLL. So
7 I think as it's stated, I don't think there is
8 sufficient scientific evidence to recommend this
9 across the board.

10 DR. FERGUSON: So you're in effect
11 saying it's a bit too broad. Yes, Dr. Kass?

12 DR. KASS: My problem with the motion
13 as stated is that if I'm being confused by it
14 after sitting here for a day and a half and
15 listening to all the discussions, I'm afraid that
16 when the Medicare coverage policy is written that
17 it's going to be confusing to the people in HCFA
18 as to what our intention was. I would like to
19 see a motion that clarified exactly the point
20 that you're trying to make.

21 DR. HAUSNER: I would like to have a
22 crack at just that. To, if you would consider
23 this as I don't know, an amendment or a revision,
24 adding something to the effect that there is
25 sufficient scientific evidence, et cetera, in

00458

1 certain cases, and you can add that in other
2 cases, there have not been. And we can use the
3 example of CLL if you want as the poster
4 malignancy for which perhaps there is, or just
5 leave that out. But rather than -- because
6 what's implicit in your motion is, and I
7 understand what you're saying, you're saying that
8 if we said it just, there is sufficient
9 scientific evidence that demonstrates the
10 clinical utility, et cetera, that that's far too

11 broad. Right?

12 DR. KLEE: Yes.

13 DR. HAUSNER: And so what I'm saying
14 is, your motion is far too broad the other way,
15 it's too much the other way.

16 DR. KLEE: Right.

17 DR. HAUSNER: But what you really meant
18 and what you were trying to reflect, which I
19 agree with, is that it is not yet a closed book.
20 But in order to be consistent with everything
21 else that we said, I propose that you revise your
22 motion something along the lines that I said
23 about saying that there is for certain
24 malignancies scientific evidence that
25 demonstrates the clinical utility of HTASs,

00459

1 something along those lines.

2 DR. FERGUSON: Kathy, and then Dr.
3 Kass.

4 DR. HELZLSOUER: This is Kathy
5 Helzlsouer. I think the confusion is that in
6 number three we changed clinical benefit to
7 clinical utility, and so we all think we voted on
8 five, which says clinical utility, which says
9 clinical utility. Since we weren't comfortable
10 with the term clinical benefit, and amended that
11 motions, so it's almost now, five is similar to
12 what we did in three, and maybe we need some
13 clarification from Grant as to if you want
14 something else addressed in this.

15 DR. FERGUSON: Dr. Kass?

16 DR. KASS: I agree absolutely with
17 that, and perhaps if someone could read to us
18 what we voted on specifically in number three, I
19 think it would become apparent that it was very
20 clearly stated in that what you're trying to get
21 at.

22 DR. BROOKS: I think it stated promise,
23 so that if we change five to include promise, I
24 think it would be equivalent to three.

25 DR. FERGUSON: We said clinical utility

00460

1 for hematologic cancers and promise for solid

2 tumors; is that correct?

3 DR. HELZLSOUER: CLL specifically.

4 DR. FERGUSON: Did we say CLL
5 specifically? Dr. Fischer?

6 DR. FISCHER: Yeah. I don't think
7 we're going to add much by doing anything with
8 five. I think we should just drop it. The
9 sentiment in the discussion around this issue was
10 done under three, and I think the semantics are
11 just going to confuse everyone, so I move that we
12 drop five.

13 DR. FERGUSON: Just a minute. We have
14 a motion on the table, that's been moved and
15 seconded and you know, we have to -- Roger's
16 rules, is it? No, Robert's.

17 DR. SUNDWALL: The motion wasn't
18 seconded.

19 DR. FERGUSON: It was seconded. It's
20 been moved and seconded.

21 DR. HAUSNER: Call the question. And
22 my point would be that if it's defeated. Then we
23 have a clean slate. I think quite honestly that
24 Dr. Fischer's idea about quashing it -- I just
25 want to ask Dr. Bagley, is this written in stone

00461

1 that we have to do anything with these
2 questions? The answer is no?

3 DR. BAGLEY: No, they are written in
4 stone, and -- well, soft stone. But I mean, the
5 purpose of these questions was to generate the
6 discussion and to get the sense of the committee
7 around these issues. And I think again, the way
8 three was modified, addresses much of the issue,
9 I think the discussion around it discusses much
10 of the issue, and I sense a reluctance in the
11 committee to take a definitive vote on question
12 number five in a definitely broad or definitely
13 proscriptive form, and if the committee decides
14 to not deal with that issue and not take a vote
15 on that, that is an acceptable alternative.

16 DR. HAUSNER: I'd like to call the
17 question on the motion.

18 DR. KLEE: Or can I withdraw my

19 motion?

20 DR. BAGLEY: I mean there's no reason,
21 because of it having been made, there is no
22 reason that it has to be put to a definitive vote
23 at this time and put people in an uncomfortable
24 position of voting on something they didn't mean
25 to vote on.

00462

1 DR. FERGUSON: Just a minute now. The
2 question has been called.

3 DR. HAUSNER: Well, unless he
4 withdraws.

5 DR. KLEE: I was just withdrawing the
6 motion.

7 DR. FERGUSON: Okay. I guess we can do
8 that.

9 DR. MURRAY: I withdraw my second.

10 DR. FERGUSON: Okay. The question has
11 been withdrawn. Not even tabled, I guess.
12 Withdrawn.

13 DR. HAUSNER: To nail it down, may I
14 make a motion that the committee not consider
15 question number five, just to nail it down?

16 DR. FERGUSON: You can make that
17 motion.

18 DR. HAUSNER: I make a motion that
19 question number five not be considered by the
20 committee at this time.

21 DR. HELZLSOUER: Well, we already did
22 consider it actually. We considered it in number
23 three,.

24 DR. FERGUSON: Well, I mean, do I --
25 has it been seconded? Is there a second to not

00463

1 considering question number five? It's been
2 moved that we not consider question number five.
3 Is there a second?

4 DR. KLEE: I second it.

5 DR. FERGUSON: Okay. There's a
6 second. Now, is there discussion?

7 DR. MURRAY: I'm a little puzzled by
8 the problem, because we have come very close to
9 number five. I have a question for Dr. Klee. In

10 your original now withdrawn motion, when you said
11 selecting as it's written here, in selecting an
12 appropriate cancer therapy, what exactly did you
13 mean by selecting? Did it specifically include
14 selecting and excluding? Because I do have a
15 problem with -- I supported your motion to find
16 that there is not sufficient scientific evidence
17 for selection, but there is sufficient scientific
18 evidence for excluding, so what exactly did you
19 mean by selecting?

20 DR. KLEE: I was just reading it
21 literally, so selecting was rule in, was
22 predominantly, but I also had concerns about the
23 rule out. I don't think there was sufficient
24 scientific evidence for many of the disease
25 entities or subgroups thereof to make a statement

00464

1 like that, so it was across the board that I had
2 concerns. But I think it has been addressed as
3 it has already been discussed in issue number
4 three where we said there is promise, and we have
5 one case where it looks like there is some
6 clinical utility. So I, that was the basis of
7 withdrawing this motion, is because it looks like
8 we can't go further than what we have already
9 said with issue number three.

10 DR. FERGUSON: All right. It's been
11 moved and -- yes, go ahead.

12 DR. BROOKS: It almost gets to whether
13 we want to say any negative. In other words, if
14 we want to use five, not as being very similar to
15 three, but whether we want to change it in such a
16 way as to state that we don't think these have
17 proven value in every cancer, because --

18 DR. KLEE: Is that not captured in the
19 discussion?

20 DR. FERGUSON: Okay. Is there any
21 further discussion about removing number five?
22 All right. It has been moved and seconded.

23 Is there any discussion from the group,
24 the audience, presenters about removing question
25 number five?

00465

1 DR. NAGOURNEY: Robert Nagourney. And
2 I think both three and five speak to an issue
3 that Dr. Bosanquet raised, and which confronts me
4 directly. We have in one course of discussion
5 looked over different technologies, different end
6 points, different utilities for end points. What
7 I'm concerned by is that my work, which is
8 specifically designated on the basis of what I
9 believe to be a better scientific understanding
10 of tumor biology, the concept of cell death, the
11 measurement of cell death as being a robust
12 predictor of response, my concern here is that
13 HCFA will make a decision that these assays are
14 all the same, and that the measurement of tumor
15 biology can all pretty well be determined.

16 And to use Dr. Weisenthal's analogy
17 where one finds the person on the roadside and in
18 determining whether they're alive or dead, they
19 can do a core temperature, EEG or EKG, or check
20 for pulse or check or response to stimulus, one
21 does not do a sperm count. You are not looking
22 for proliferative capacity to assess viability.
23 The assay end points that we have sort of skirted
24 over are distinct. Some measure cell viability,
25 and those have been extremely compellingly argued

00466

1 in favor of by much of the data, if you really
2 dissect the data. Most of what you heard, which
3 convinced you to these remarkable unanimous
4 decisions has been Randy Stein, who was not
5 determined to have been improved in his outcome
6 by eliminating every other possible combination
7 of drug resistant phenomenon, but in fact by
8 identifying an active treatment.

9 Or Dr. Nalick, who eloquently argued in
10 favor of how well the cells can pick treatments.
11 Pick treatments. And what I'm afraid of here as
12 a clinician who comes under HCFA guidelines, and
13 who practices medicine, whose father has cancer,
14 you could make a decision that you will approve
15 all these tests and they're all really great, and
16 although I know you are not here to determine
17 reimbursement issues, I will find myself

18 constrained with a difficult and arduous assay
19 which requires larger numbers of drugs under
20 different conditions for prolonged periods of
21 time with subjective and labor intensive tests,
22 to make meaningful selections of cancer
23 treatments. And I will be reimbursed by HCFA, or
24 my patients will be covered by HCFA at a level
25 that covers the lowest common denominator,

00467

1 eliminate a drug that has a five or ten percent
2 chance. And I will be effectively unable to
3 provide the best test to my patients. And HCFA
4 stipulations say that you either accept HCFA,
5 Medicare reimbursements for an approved test in
6 every situation, or sign off HCFA for two years.
7 What this effectively means is that you reimburse
8 these all the same, and the cheapest assay
9 becomes the assay that's reimbursed, then I write
10 a prescription for my father if I don't get this
11 test approved in a way that I can afford to do
12 it.

13 So I think that number three and number
14 five speak to issues that there are different
15 tests here, and when you send your message to the
16 next committee, there is going to have to be some
17 distinction between the fact that some tests are
18 difficult and give information to select
19 treatments, and some tests are easier and give
20 more limited amounts of information. And that's
21 sort of be skirted over, and it concerns me
22 gravely.

23 DR. FERGUSON: Thank you. Is there
24 further discussion or comment on this removing
25 this question.

00468

1 MR. STRINGER: I'm Jerry Stringer.
2 I'm a consultant, although I am here on my own
3 today. Just in terms of the committee guiding
4 the development of the coverage policy, I
5 actually think it would be important to make a
6 statement -- you made a statement that it's --
7 basically that it's reasonable and necessary for
8 this test on some occasions. I think as the

9 experts, it would be nice to know whether you
10 felt that question five, I think says, are there
11 occasions where use of this test would change
12 which chemotherapy agent a patient would get. So
13 I think that's basically all it says; if you do
14 the test, is there a chance that the treatment
15 would change.

16 Another level of it, does this test
17 have the possibility, or is there scientific
18 evidence that improves patient outcomes in terms
19 of quality of life, and then ultimately the
20 question is, does this test improve patient
21 longevity? Is there scientific evidence on each
22 of those three steps? And I think those
23 questions being answered by the experts will help
24 the coverage policy makers in formulating when
25 the test should be covered, and under what

00469

1 circumstances.

2 DR. FERGUSON: Dr. Hausner?

3 DR. HAUSNER: I guess I didn't really
4 reveal my full plan. If question number five is
5 deleted at this time, my plan was to add number
6 five as question number six, what additional
7 concerns, questions or would the committee like
8 addressed and basically in a rather clumsy way
9 be, table it in that fashion. That was what I
10 was going to do if it were still an open motion,
11 if it were to be defeated.

12 The other comment that I've just got to
13 say, talking about the Randy Stein case somehow
14 or another influencing my opinion, that is a
15 remarkable story, just that. I don't know what
16 happened there. That could be explained by
17 somebody trying for sainthood. I mean, Mother
18 Theresa might have had some effect on that case
19 as much as anything that we were told about. So
20 that had no influence, although it's a very
21 gratifying story.

22 DR. FERGUSON: Dr. Fischer?

23 DR. FISCHER: You know, I feel like I'm
24 dealing with my kids here. I think, you know, I
25 think the committee went as far as it could,

00470

1 given the science that it was presented, and I
2 feel we are getting beat up on right now, and I'd
3 give you the same recommendation I'd give my
4 kids, settle down and wait a while.

5 DR. FERGUSON: Mr. Kiesner?

6 MR. KIESNER: Yes. I think when I look
7 at this question, it is very broad, and I think
8 that the general tenor of what I have heard here
9 today is that there has been a wealth of
10 scientific evidence which compares very favorably
11 to other diagnostic tests, and the panel believes
12 that there is appropriate clinical application of
13 this, but we have not given you, nor have you had
14 the time nor maybe is it appropriate for you to
15 try to comprehend all of the clinical settings in
16 which these types of tests can be used. I think
17 that it is appropriate for this committee to say
18 that there is sufficient scientific evidence for
19 human tumor assay systems to be used in relation
20 to selecting or deselecting a given drug. And
21 then I think it has to go one step further in
22 terms of the policy at some further point in
23 time, and by an entity other than this panel in
24 order to define that specificity. And I would
25 feel that an answer to number five in that sense,

00471

1 holds that there has been scientific evidence,
2 that there has been clinical utility, which would
3 parallel the answer to question number three, and
4 that, some indication that this should be used by
5 HCFA as the sentiment of the committee, to look
6 in more depth at the clinical setting, and I
7 think that would be the most appropriate way to
8 handle this.

9 DR. FERGUSON: Thank you. We're going
10 to call this -- go ahead. One more.

11 DR. BROOKS: Yeah. Just a quick
12 comment. I mean, I kind of agree with Dr.
13 Fischer. You know, we are kind of being boxed
14 around the corner here a little bit, because on
15 the one hand you would like it to say that is of
16 utility in selecting and deselecting the

17 chemotherapy. And I believe that, you know, with
18 my father being a lawyer, if we say that sort of
19 stuff, then we just voted on we wouldn't preclude
20 therapy based on the assay. So I think it's gets
21 too multiple on their questions. And if you're
22 saying that you think there is clinical benefit
23 as opposed to utility, then we come back to the
24 other thing, and we certainly could, and I am not
25 proposing any motion, but you know, then we could

00472

1 have a motion based on benefit, so I think, you
2 know, there is various issues in this question.

3 DR. FERGUSON: I am going to call this
4 question. All in favor of this removing number
5 five? I believe that it's unanimous. Okay.

6 Now, does somebody want to -- I mean,
7 there are what additional concerns, questions or
8 issues? I haven't asked for a motion on that,
9 but yes?

10 DR. HAUSNER: My motion is, I would
11 like to make a motion that number five be
12 incorporated as an additional concern for future
13 consideration. I am a little -- when it says
14 would the committee like addressed by who, I
15 assume it's not by us, but I think that number
16 five is still an open issue for the future as
17 this story continues to develop. So assuming
18 that it's not us, I make the motion that the
19 committee recognize that the question number
20 five, is there sufficient scientific evidence,
21 et cetera, be addressed at a later date.

22 DR. FERGUSON: Is there a second? Dr.
23 Sundwall?

24 DR. SUNDWALL: Could I amend that
25 before I second it?

00473

1 DR. FERGUSON: Sure.

2 DR. SUNDWALL: The discussion to me is
3 either or, which I don't quite understand. I
4 think the problem word is sufficient, and I would
5 support your issue to be on the table for further
6 consideration if it read something like there is
7 scientific evidence demonstrating the clinical

8 utility of ST assays; however, more research
9 needs to be done to document their utility,
10 particularly in solid tumors.

11 DR. HAUSNER: I accept that, and maybe
12 you made the motion and I'll second it; okay?

13 DR. FERGUSON: It's been moved and
14 seconded, I guess. Dr. Sundwall, do you want to
15 read it?

16 DR. SUNDWALL: There is scientific
17 evidence to demonstrate the clinical utility of
18 STASs; however, more research needs to be done,
19 particularly in documenting their utility in
20 solid tumors.

21 DR. FERGUSON: Okay. And it has been
22 seconded. Now, is there some discussion on that
23 motion? Yes, Dr. Fischer.

24 DR. FISCHER: You know, it sounds like
25 the answer is in on hematologic tumors, which it

00474

1 certainly isn't. You know, I think lots of
2 questions come from this, particular tumors,
3 particular assays, particular drugs, when does it
4 and when doesn't it work. We don't know. I
5 think we have really been pushed as far as the
6 committee is going to, and so, I feel quite at
7 piece about where we are at.

8 DR. FERGUSON: Yes?

9 MS. SIMMERS: It seems to me that for
10 question six, what really needed is sort of a
11 laundry list of those concerns and questions that
12 we have remaining, but we're not going to come to
13 a conclusion about making a motion about them,
14 but that we want HCFA to know that they are
15 concerns of ours. And I think this whole issue
16 of clinical trials and their continuation or
17 further research, whichever you way you want to
18 state that, is one of the concerns that has been
19 expressed several times. And I think if it makes
20 the list, there is not really a need for a more
21 specific motion, but just the sense of that, to
22 be registered with HCFA.

23 DR. FERGUSON: Okay. Dr. Brooks?

24 DR. BROOKS: Yeah. I just wanted to

25 say that I would agree with the previous speaker
00475

1 that, you know, rather than have another motion,
2 although we certainly could have that one motion,
3 but I would not want that one motion to preclude
4 giving the additional concerns or whatever that
5 we may have, that we may want to voice.

6 DR. FERGUSON: Okay. Kathy?

7 DR. HELZLSOUER: Yeah. I guess the
8 issue for me, that motion, sounds similar to what
9 we already voted on, so I don't see, I guess the
10 utility, if you will, of rephrasing what we
11 already voted on. Think the issue that should be
12 reflected is where we changed that was the
13 clinical benefit. I agree with Dr. Fischer, that
14 we've gone as far as we can with the evidence
15 provided, and my concern is that we don't have
16 the evidence of clinical benefit and that's what
17 still needs to be shown, in whatever ways, and
18 whatever trials, so that's where I have the
19 concern.

20 DR. FERGUSON: Okay. Do you want to --

21 DR. SUNDWALL: Yeah, I would like to
22 withdraw. I have to look at our FDA and see. If
23 I can withdraw my motion, I think that we
24 probably all listed some things we think are
25 issues, and I wonder if maybe the committee needs

00476

1 to discuss that, or because we are duly appointed
2 committee members, we couldn't in fact provide
3 for you those issues.

4 DR. FERGUSON: Right. There is a sense
5 of, maybe somebody could itemize these things.
6 There is a sense of the committee that there are
7 some issues that require addressing for which
8 patients is this, are these the best tests, when,
9 when should they be given, what tests, when along
10 their treatment protocols. I mean, all kind of
11 things of that nature and others, I'm sure.
12 Yes?

13 MS. KRAFT: I think that's what
14 Dr. Nagourney was getting at is he wants us to
15 define some of our concerns, because all of us

16 that have dealt with Medicare and Medicare
17 reimbursements are concerned with defining what
18 will we be reimbursed for when we order HTA assay
19 tests, and then, will Medicare take the flying
20 leap forward and then define, unbeknownst to us,
21 maybe what tests they will pay for and what they
22 won't. So one concern of mine is that they, in
23 defining what they're going to reimburse, that
24 they contact some of the scientists and
25 physicians in the audience that are doing this

00477

1 research, that they find out what is the cost of
2 producing the test and get some real life cost
3 data, so when they set what they are going to pay
4 the physicians for doing these tests, that they
5 have realistic up to date direct costs.

6 DR. FERGUSON: Maybe we could just,
7 since we're doing pretty well on time, we have 15
8 more minutes, just put some of our concerns on
9 the table for HCFA's consideration, as sort of
10 our final. Yes, please?

11 MS. SIMMERS: I have three on my list
12 and I'm sure there are going to be many others I
13 agree with. One, I think this whole issue of
14 continued research, and I believe the stimulus is
15 there to do it, because as Dr. Bagley pointed
16 out, oncology is much different, and I believe in
17 order to convince those that are the gatekeepers
18 of ordering these tests so that it opens up to
19 Medicare beneficiaries, the research will have to
20 support the use of that technology, so it should
21 happen, but it is a continued concern that we get
22 better evidence of the utility and benefit of
23 these tests.

24 I continue to be concerned that the
25 industry work on and continually be cognizant of

00478

1 accessibility of all Medicare beneficiaries who
2 are facing a cancer diagnosis, and just not be
3 some limited accessibility wise, and they look at
4 ways to address that.

5 And certainly the policy development,
6 for those of us who have dealt with carriers on a

7 daily basis, and for their side of the equation,
8 the policy does need to be more specific. I
9 don't think this is the forum where that happens,
10 because there are processes in place that HCFA
11 has used before to develop those kinds of
12 policies, and I certainly want to see that kind
13 of process go on, so that reasonable and specific
14 policies are set forth.

15 Those were the tree three that I was
16 concerned about.

17 DR. FERGUSON: Dr. Sunderwall, did you
18 have some?

19 DR. SUNDERWALL: My only contribution
20 at this time is that I think this particular
21 group of tests under this rubric, whatever STAs,
22 lends itself very well to a national coverage
23 policy. We have experience from negotiated rule
24 making where in fact this would be, could be done
25 with the right expertise, and I would strongly

00479

1 recommend that be the next step from HCFA. I
2 think it would address most of the concerns
3 people have about appropriate application and
4 whether it should be paid for.

5 And I would just second what Cheryl
6 just said about appropriate reimbursement,
7 because I do think that it would be a shame to
8 give a green light to add this to the
9 armamentarium of oncologists and physicians, and
10 then find out that it's so underpaid that it's
11 not being used.

12 DR. FERGUSON: Okay, thank you.
13 Dr. Klee?

14 DR. KLEE: I had three different things
15 that I'd like to see brought up. One is this
16 question of monitoring the effectiveness of this
17 program if it's put in place, and perhaps even
18 having a sunset clause and review after a certain
19 period of time, to say, did it really meet the
20 expectations that we had hoped for for this
21 length of time?

22 The second would be to further
23 delineate this question of which tests are

24 appropriate for which type of tumors. You know,
25 which ones are proliferative, which ones do we

00480

1 want to have apoptosis markers and such in
2 there.

3 The other is a further delineation in
4 terms of which types of patients are appropriate
5 for testing. There are certain tumors that are
6 going to have universally good response, or
7 fairly good response, and it doesn't seem like
8 this would be appropriate for that group of
9 patients. And on the other end of the
10 distribution, you've got some that there is no
11 appropriate therapy, or responsive therapy that's
12 going to be coming in, and therefore, treating
13 may not be dependent upon this testing also. So
14 I think it's along the line of the presentation
15 we had yesterday, that we are looking in the
16 middle part of the distribution rather than the
17 extremes. We need to define what those extremes
18 are, or what the middle is in terms of disease
19 therapy indications for this particular
20 technology.

21 DR. FERGUSON: Dr. Fischer?

22 DR. FISCHER: No.

23 DR. FERGUSON: Dr. Brooks?

24 DR. BROOKS: Yeah, a few things. One,
25 I would go back to ASCO's position and so HCFA

00481

1 may, I wouldn't require it, but they may wish to
2 have further clarification on how ASCO views
3 these tests, just as additional information for
4 the record, I suppose.

5 What I would like to say and make
6 almost a recommendation for is that just as with
7 certain testing that's done in clinical labs all
8 the time, whether it be for HIV, hepatitis C, et
9 cetera, you know, there is a requirement that we
10 keep certain data, that if approved for coverage
11 and payment, that there be a requirement of those
12 who were ordering or doing the tests, that they
13 keep certain data available, and that data be
14 open and available to external groups, as our

15 data is now.

16 And finally, I think coverage, as
17 mentioned by Miss Kraft a little earlier, or
18 perhaps yesterday, I kind of agree with her, that
19 coverage may actually unable further research to
20 go forward. There will be some type of payment,
21 no matter on what level, and that may shake out
22 which test is better. It may actually foster
23 further research to enhance the test and allow
24 these tests to be used in clinical trials by the
25 oncology groups, so I think that may well be the

00482

1 case.

2 DR. BAGLEY: I think I gave my concerns
3 in the beginning.

4 MR. BARNES: Just very quickly, I would
5 like to encourage in conjunction with the comment
6 about looking at the true cost of the test, that
7 the work at HCFA go further to look at the net
8 cost to Medicare, that the economic analysis and
9 quality of life analysis, which you heard a
10 little bit about, be taken into consideration.

11 DR. FERGUSON: Okay. My concerns are
12 mostly which tests for which patients, and when
13 in the course of the disease, which I think need
14 to be still looked into.

15 DR. MURRAY: I think that my comment
16 perhaps duplicates Dr. Brooks, but I know that
17 it's common practice in many, perhaps all
18 genetics laboratories, cytogenetic laboratories
19 that do prenatal testing, it's common practice
20 for them to follow up with outcomes and to
21 correlate their test result with the fetal
22 outcome. And I would encourage the laboratories
23 that do this type of testing to make that
24 effort. Of course you can't demand it as a
25 condition of testing, but our experience in

00483

1 genetic testing is that obstetricians are very
2 cooperative and I would expect that the
3 oncologists would be equally cooperative. While
4 that doesn't constitute research and perhaps may
5 not be publishable to the extent possible, that

6 should be available for review.

7 DR. FERGUSON: Dr. Loy?

8 DR. LOY: Based on Dr. Nagourney's
9 comments, I hope some attention is give to
10 elaborating on the differences between different
11 testing modalities and when there may be
12 appropriate use of each one of those modalities.

13 Then I also have an interest in
14 addressing the appropriate frequency of testing,
15 how many times you're going to allow this as
16 reasonable and necessary, over the course of
17 treating patients. And then finally, some
18 attention to who is responsible for choosing the
19 drug of choice for testing. If there was never
20 the intent to use a specific chemotherapeutic
21 agent in the regimen to begin with, then it would
22 seem inappropriate to me that the oncologist
23 should have, the treating oncologist should have
24 some say so about that to begin with.

25 And then finally, I hope that there is

00484

1 some consideration given to, from a carrier
2 standpoint, of the documentation requirement.

3 DR. FERGUSON: Miss Snow?

4 MS. SNOW: My only concern is that we
5 keep in mind the assessability and affordability
6 for the beneficiaries.

7 DR. FERGUSON: Thank you. Dr. Kass,
8 no? Dr. Hausner, no. No?

9 DR. MINTZ: My concerns have been
10 already stated by others, but I want to use this
11 opportunity to state that I think the sense of
12 the committee was best expressed in motion number
13 three, and that these tests show promise for
14 clinical utility, and that motion deliberately
15 did not state, distinguish between sensitivity
16 and resistance testing, so I think the sense of
17 the committee reflects that it is supportive of
18 both sets of testing.

19 And I would only add that I also hope
20 the coverage is adequate to permit this
21 technology to be used.

22 DR. FERGUSON: Dr. Bagley.

23 DR. BAGLEY: I want to do one little
24 bit of parliamentary cleanup work, since in the
25 frenzy of doing the right thing, we may have

00485

1 gotten ourselves cross wise with Ferguson's, or
2 Robert's Rules of Order. We had a motion on
3 number five, which was seconded. I believe
4 someone reminded me that the questions was
5 called. We can go back and look at the record,
6 but I believe the question was called, and then
7 there was this withdrawal. And actually I'm
8 unclear as to whether that's allowable, but I
9 think we could get ourselves, have a clean record
10 if we consider the fact that motion number five
11 was, the original motion which was, there is not
12 scientific evidence was made, seconded, question
13 called. If we vote on that and it's voted down,
14 and the committee already then went on to vote,
15 saying their sense on number five was that it was
16 dealt with in number six, I think the record will
17 clearly reflect it, but I think perhaps it would
18 be worthwhile to clean up that issue and vote on
19 that original at motion number five,.

20 DR. FERGUSON: He withdrew the motion.

21 DR. BAGLEY: Well, there's a question
22 as to whether that's an allowable procedure after
23 the question's been called, so I think if we
24 voted on it, the committee voted on it, if they
25 vote it down, they could then make a motion and

00486

1 say see question three in the discussion, that's
2 our sense.

3 DR. FERGUSON: Okay. I guess we should
4 vote on Dr. Klee's original motion. Restate the
5 motion.

6 DR. BAGLEY: That there is not
7 scientific, that there is not sufficient
8 scientific evidence to demonstrate the clinical
9 utility in selecting appropriate therapy.

10 DR. FERGUSON: All right. So I am
11 going to call for the vote on that. All in favor
12 of that? One vote in favor. I guess I have to
13 read. That was Dr. Klee that voted in favor.

14 Do I have to read the -- no. All
15 against? And I guess there is an abstainer or
16 two. Wait a second. So everybody else voted
17 against, is that correct? All against, please
18 raise your hands. Dr. Mintz, you're not raising
19 your hand; does that mean you're abstaining?

20 DR. MINTZ: Yes.

21 DR. BROOKS: And so am I.

22 DR. FERGUSON: So we have two
23 abstainers, and I need to read who abstained?
24 Boy, you guys really -- let's see. Dr. Mintz
25 abstained, Dr. Brooks abstained. Did anybody

00487

1 else abstain? And all the rest voted against.
2 You want me to restate that?

3 One voted for this motion, two
4 abstained, and the rest voted against it. Okay.
5 All we all right with Roger's, Robert's? Do I
6 get by my badge for going to Congress.

7 DR. SUNDWALL: Before people leave,
8 could I call to the attention something that
9 people may or may not be aware of, that Dr.
10 Bagley won't be with us anymore in this capacity.

11 Dr. Bagley is leaving government, and all of us
12 who've worked with him I think owe him a debt of
13 gratitude for his fairness, his intellect and his
14 perseverance.

15 DR. FERGUSON: The meeting is
16 adjourned.

17 (The meeting adjourned at 11:55 a.m.,
18 November 16, 1999.)
19
20
21
22
23
24
25